

MEETING
STATE OF CALIFORNIA
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
ENVIRONMENTAL CONTAMINANT BIOMONITORING PROGRAM
SCIENTIFIC GUIDANCE PANEL

JOE SERNA, JR., Cal/EPA HEADQUARTERS BUILDING
1001 I STREET
BYRON SHER AUDITORIUM
SACRAMENTO, CALIFORNIA

TUESDAY, FEBRUARY 9, 2010

10:02 A.M.

JAMES F. PETERS, CSR, RPR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

APPEARANCES

PANEL MEMBERS

Dr. Edward Moreno, Chairperson

Dr. Asa Bradman

Dr. Marion Kavanaugh-Lynch

Dr. Ulricke Luderer

Dr. Thomas McKone

Dr. Julia Quint

Dr. Gina Solomon

Dr. Michael P. Wilson

OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT

Mr. Allan Hirsch, Chief Deputy Director

Ms. Carol Monahan-Cummings, Chief Counsel

Dr. Gail Krowech, Staff Toxicologist, Safer Alternatives Assessment and Biomonitoring Section

Ms. Sara Hoover, Chief, Safer Alternative Assessment and Biomonitoring Section

Ms. Fran Kammerer, Staff Counsel

Dr. Farla Kaufman, Research Scientist, Reproductive Toxicology and Epidemiology

Dr. Lauren Zeise, Chief, Reproductive and Cancer Hazard Assessment Branch

APPEARANCES CONTINUED

DEPARTMENT OF PUBLIC HEALTH

Dr. Rupali Das, Chief, Exposure Assessment Section,
Environmental Health Investigations Branch

Ms. Diana Lee, Research Scientist

Dr. Sandy McNeel

Dr. Jianwen She, Chief, Biochemistry Section

DEPARTMENT OF TOXIC SUBSTANCES CONTROL

Dr. Myrto Petreas, Chief, Environmental Chemistry Branch

ALSO PRESENT

Mr. Davis Baltz, Commonweal

Dr. Brian Bret, Dow AgroSciences

INDEX

	<u>PAGE</u>
Welcome from Chief Deputy Director Hirsch	1
Overview of the meeting by Chairperson Moreno	3
Program Update	
Presentation: California Department of Public Health	8
Panel Questions	22
Public Comment	29
Panel Discussion and Recommendations	33
Laboratory Update	
Presentation: CDPH and Department of Toxic Substances Control(DTSC)	38
Panel Questions	58
Public Comment	64
Panel Discussion and Recommendations	64
Potential Designated Chemical: Pendimethalin	
Presentation: OEHHA	66
Panel Questions	70
Public Comment	73
Panel Discussion and Recommendations	73
Afternoon Session	87
Maternal Infant Environmental Exposure Project(MIEEP)	
Presentation: CDPH	87
Panel Questions	142
Public Comment	148
Panel Discussion and Recommendations	154
Potential Priority Chemicals: Polychlorinated biphenyls, 2-hydroxy-4-methoxybenzophenone (benzophenone-3)	
Presentation: OEHHA	156
Panel Questions	159
Public Comment	173
Panel Discussion and Recommendations	174

INDEX CONTINUED

	<u>PAGE</u>
Overview of Update Designated and Priority Chemical Lists	
Presentation: OEHHA	188
Panel Questions	197
Public Comment	206
Panel Discussion and Recommendations	207
Summary of SGP Recommendations by Dr. Zeise	209
Adjournment	212
Reporter's Certificate	214

1 Before we get started, just some housekeeping
2 items. The restrooms, for those who may need them, are
3 you would exit the back of the auditorium, and then they
4 are over to your left. And in the unlikely event of an
5 emergency or a fire drill, we have the exits here are
6 pretty well marked both on the back and the sides, and
7 also exits here at the front of the auditorium too.

8 We tend to have fire drills in the spring, so
9 this is not fire drill season here. And this meeting is
10 being webcast and we have a transcriber here who is making
11 a transcript of this meeting.

12 Our last Scientific Guidance Panel meeting was
13 here in Sacramento on October 6th, 2009. The focus of
14 that meeting was to get the Panel's advice on several
15 items, which priority chemicals that the DTSC and DPH
16 laboratories should develop analytical methods for first.
17 We also heard a presentation on the Maternal Infant
18 Environmental Exposure Project, also known as MIEEP. And
19 also you talked about collaboration opportunities with the
20 Kaiser Permanente Research Program on genes, environment,
21 and health.

22 So today's meeting there will be -- we think it
23 will go until five o'clock. And today's agenda is focused
24 on program and laboratory updates, potential designated
25 and priority chemicals. And you'll be looking at a

1 proposed new format for the designated and priority
2 chemical lists. And then also you'll be receiving an
3 update on the Maternal Infant Environmental Exposure
4 Project.

5 And there will be also, as there always is,
6 opportunities for panel discussion and questions and
7 public comment throughout today's meeting.

8 So basically the goals of the meeting are to
9 update the Panel and the public about Biomonitoring
10 Program activities, obtain the Panel's recommendations on
11 potential designated and priority chemicals, and on the
12 proposed format for those chemical lists, and to obtain
13 the Panel's input on the Maternal Infant Environmental
14 Exposure Project.

15 So with that, I'll turn the meeting over to our
16 Chair.

17 CHAIRPERSON MORENO: Thank you Allan.

18 Good morning, everybody. Good morning, Panel
19 members and staff and public. Welcome to the February 9th
20 meeting of the Scientific Guidance Panel to the
21 Biomonitoring Program.

22 The goals have been stated by Mr. Hirsch, so I
23 won't repeat them. What I'd like to remind everyone is
24 how we're going to be handling public comment today. If a
25 member of the public would like to make a comment, he or

1 she can fill out a comment card, which you can get from
2 the staff table outside the room, and I'd ask that you
3 turn in the cards to Amy.

4 Can you raise your hand.

5 Thanks.

6 Amy Dunn will collect those cards and hold them
7 for when we have public comment.

8 Those that are listening -- members of the public
9 who are listening on the webcast, if you would like to
10 submit comments, you can send an Email to us at
11 biomonitoring@oehha.ca.gov this morning during the
12 meeting, and our staff here will take the Email and take
13 the comments and they'll bring them to us here at the dais
14 and we will share those comments during public comment.

15 We want to make sure that the meeting proceeds on
16 schedule. And I want to make sure that everyone that
17 wants to provide public comment has an opportunity to
18 provide their comments. So we'll look at how many
19 comments -- how many people want to provide comment and
20 how much time we have for that time during the meeting,
21 and we'll try to divide up the time equally among people
22 who wish to speak.

23 I'd ask that if you provide public comment
24 following one of the topics presented today, please try to
25 limit your comments to the topic that was just presented.

1 Thanks.

2 Also, I'd ask everyone to please speak clearly
3 into the microphone, and also introduce yourselves.
4 That's for the benefit of people who are listening on the
5 webcast, so they know who's speaking. And also for the
6 transcriber so our transcriber knows who's providing the
7 comments.

8 The materials that the Panel members have are
9 also available for the public. And we have those
10 available here in the auditorium for the public to review,
11 if you'd like. And we will be taking two breaks. Since
12 we got started at 10 o'clock, we'll taking our first break
13 around 12:30 for lunch. And then we'll be taking a short
14 break mid-afternoon. And we'll be concluding at five
15 o'clock.

16 So that's all I have to share, before we get
17 started on the rest of the program. Before I introduce
18 the next speaker or the first speaker, which is Dr. Rupali
19 Das, I just want to let everyone on the Panel know that I
20 really enjoyed serving on the Panel. And it's been a
21 tremendous honor to chair this distinguished group of
22 researchers. But I find myself these days with
23 significant number of competing responsibilities statewide
24 and back at my county.

25 So I'm just letting you know that this will be my

1 last Scientific Guidance Panel meeting. I'll be resigning
2 after -- well today will be my last day. And I really
3 wish I could continue to work with you guys. I've learned
4 a lot, and you've shared a lot with me. And I feel like
5 I've done my best to contribute to the process. And also
6 to Allan and all of your staff, the Department's and the
7 Agency staff have done a fantastic job. It's a real
8 pleasure to work with such qualified and dedicated people
9 to make such progress in the current situation, the
10 economic constraints that we have. It's just amazing to
11 work with you guys, so that's all I want to say.

12 And with that, I need to hand it over to Allan.

13 CHIEF DEPUTY DIRECTOR HIRSCH: Well, we at the
14 staff level got some advanced notice that you were going
15 to say this. So perhaps I'm not in as much shock as
16 perhaps some of the other people on the Panel here are.
17 But I just wanted to say that, you know, the first chair
18 of a Panel, and Dr. Moreno is our first chair, you know,
19 tends to have a disproportionate influence on how that
20 panel continues to be run. So, you know, we're very
21 pleased, Ed, that you were able to set a very positive
22 tone for this panel. The Panel, in your meetings, has
23 been very productive. You've been cordial, focused on the
24 science. And, you know, while that's reflection of the
25 Panel as a whole, in particular it's a reflection, again,

1 of the way that you've run the meetings.

2 So we have every reason to think that that
3 positive tone that you've set is going to continue on into
4 the future, and we're very appreciative of that. And we
5 also appreciate the support that you've shown for the
6 program as we continue to deal with our fiscal challenges
7 as well.

8 So, you know, we're sure that you're going to be
9 continuing to be a great advocate for biomonitoring. I
10 think you were a little modest to mention it, but one
11 reason you're leaving is because you're now going to be,
12 is it President or head of the CCLHO, the California
13 Conference of Local Health Officers. So congratulations
14 on that.

15 And, you know, again, in your future duties, I'm
16 sure you'll be able to -- you will continue to support our
17 biomonitoring efforts here.

18 Just one minor order of business related to that.
19 We were thinking that probably at the Panel's next meeting
20 on May 24th that that would be a good time for you to
21 select a new Panel chair. You've got a full agenda today.
22 And obviously Ed's news is just hitting you.

23 So our thought was that for the May 24th meeting,
24 Dr. Luderer has been vice chair in the past and has been
25 acting chair, so we figured we would convene -- if it's

1 okay with all of you, we would convene the next meeting
2 with Dr. Luderer as the Acting Chair, and then, you know,
3 during that meeting you can select a new Chair. So if
4 that's okay with you, we though that would be a good way
5 to go.

6 Okay, thank you.

7 CHAIRPERSON MORENO: All right. Thank you,
8 Allan.

9 All right. So it's my pleasure now to introduce
10 our first speaker, Dr. Rupali Das who is the Chief of the
11 Exposure Assessment Section with California Department of
12 Public Health, and lead of the California Environmental
13 Contaminant Biomonitoring Program, and she's going to
14 provide us a Program update.

15 (Thereupon an overhead presentation was
16 Presented as follows.)

17 DR. DAS: Thank you, Dr. Moreno, and good morning
18 to members of the Panel and the audience. I'm going to
19 give the overview of the Biomonitoring Program and several
20 other speakers today will elaborate on some of the issues
21 that I touch on.

22 --o0o--

23 DR. DAS: So I'll be touching on a few issues
24 here. As we saw this morning, our full Program name takes
25 a lot of time and it's difficult to pronounce. So we have

1 a new public name it's a little bit simpler. I'll be
2 going very briefly over some staff changes, reminding you
3 of the overall program goals; the funding status and how
4 we are dealing with the reality of funding; and then
5 remind you of our objectives under the CDC cooperative
6 agreement and the progress we've made towards meeting some
7 of those objectives.

8 --o0o--

9 DR. DAS: So our new public name is Biomonitoring
10 California. So throughout the presentation, you may here
11 me referring to Biomonitoring California or to CECBP.

12 --o0o--

13 DR. DAS: This slide shows some of the new staff
14 who have been hired under the CDC cooperative agreement.
15 And Dr. Jianwen She who will speak after me will actually
16 go over this in a little bit more detail and introduce the
17 staff, so I won't spend anymore time on this slide.

18 --o0o--

19 DR. DAS: In addition, we have some changes in
20 State staff. Robbie Welling, who is a research scientist
21 with our Program moved to OEHHA. And we are in the
22 process of recruiting a new research scientist to replace
23 her. In addition, Kristin Gottschalk is a research
24 scientist with OEHHA, who will be coordinating the
25 Scientific Guidance Panel meetings and will interact with

1 you and is working with Sara Hoover on some other issues
2 as well. Kristin, do you want to stand up.

3 Thank you.

4 --o0o--

5 DR. DAS: So just to remind the Panel of the
6 overall goals of the Biomonitoring Program. They are to
7 determine the levels of environmental chemicals in a
8 representative sample of Californians, either through a
9 statewide sample or through community studies; to
10 establish trends in the levels of these chemicals
11 overtime; and to assess the effectiveness of public health
12 efforts and regulatory programs to reduce Californian's
13 exposure to these chemicals.

14 In addition, we are also committed to providing
15 opportunities for public participation, in a way that's
16 meaningful and sensitive to the diversity of the
17 California population.

18 --o0o--

19 DR. DAS: The lofty goals of that program,
20 however, meet the fiscal realities. And the next two
21 slides show you the fiscal -- the monetary aspects of the
22 program.

23 Our core funding comes from the State and is
24 stable at 1.9 million per year for the three departments.
25 The funding source are the Toxic Substances Control

1 received 2.6 million out of the total of five million
2 available under this grant. The other two states were New
3 York and Washington.

4 The grant started funding on September 1st. And
5 we anticipate that we'll be submitting a continuing
6 application this spring.

7 Mark Davis, our CDC program officer, is actually
8 in the room visiting us. Mark, could you stand up. He's
9 visiting for this Scientific Guidance Panel today. And
10 he'll be also visiting the two laboratories tomorrow.

11 --o0o--

12 DR. DAS: To remind the Panel of our objectives
13 that we specified under the cooperative agreement, we had
14 five objectives specified. The first two expand
15 laboratory capability and capacity. We had initially
16 specified that we would complete 13,000 assays per year
17 for up to 14 classes of chemicals in urine or blood. We
18 had requested a slightly higher level of funding than what
19 we got, so that number will likely change.

20 We also stated that we would demonstrate the
21 success of a quality management system to transport,
22 track, inventory, process, and analyze biospecimens, and
23 to maintain archives.

24 Third, we specified that we would apply
25 biomonitoring methods to assess and track exposure trends

1 in selected populations. For example, you'll hear about
2 the Maternal Infant study in more detail this afternoon.

3 Next, we aim to assess exposures in a
4 representative group of Californians, primarily through
5 archived biospecimens. And finally, we plan to engage and
6 collaborate with stakeholders and communities, especially
7 where we'll be carrying out biomonitoring studies, and to
8 test methods for developing outreach methods and
9 educational materials.

10 I'll be talking about some of these objectives,
11 and you'll hear more this afternoon as well.

12 --o0o--

13 DR. DAS: Our first two objectives really have to
14 deal with laboratory capability and capacity, and
15 demonstrating success of the lab system. And Dr. Jianwen
16 She and Dr. Myrto Petreas will be talking about that after
17 me, so I won't dwell on that.

18 --o0o--

19 DR. DAS: But there is one item I did want to
20 mention under the labs, and that is method development.
21 The first bullet describes the methods or lists the
22 methods that are currently being developed. And again,
23 the two speakers after me will talk about those in a
24 little bit more detail.

25 I wanted to just mention the second bullet item.

1 At the last Panel meeting, members had indicated a strong
2 interest in finding a little bit more about the
3 availability of a biomarker for diesel exposure. And
4 however due to competing work loads and the challenges
5 posed by the availability of a biomarker for diesel -
6 there isn't one currently - we've focused on some of the
7 other methods, but we do plan to address this in a little
8 bit more detail at a future meeting.

9 I just wanted to let you know we haven't
10 forgotten about your request.

11 --o0o--

12 DR. DAS: The third objective is to apply
13 biomonitoring methods to assess and track exposure trends.
14 And under this, there are three different projects that
15 you've already heard about, but I just want to give you
16 some updates. Our first study under -- or first project
17 under the assessing and tracking exposure trends is our
18 collaboration with Environmental Health Tracking, which
19 was specified as a requirement of the grant. And we are
20 lucky to be working with the tracking folks in our branch
21 as well.

22 There were two studies conducted under the
23 tracking focus. The first one in Tulare. The Tulare
24 study focused on participants living near orange groves
25 where chlorpyrifos was sprayed. There were approximately

1 30 individuals involved in that project. Urine is going
2 to be analyzed for a metabolite of chlorpyrifos. There's
3 a short questionnaire that will be administered, and air
4 monitoring was conducted last summer under the first set
5 of testing.

6 The urine results are not yet available, but the
7 preliminary air monitoring suggests that the levels that
8 were detected were very low. So the Tracking Program is
9 planning to do a second phase of data collection this
10 year, in which participants will be instructed to collect
11 urine samples when they smell chlorpyrifos being applied.

12 Our second collaboration with Tracking is in
13 Imperial county, where perchlorate was measured in urine
14 and in food sample -- purchased food samples and in water
15 samples. There were 31 individuals who were assayed in
16 Imperial county. They provided 24-hour urine samples,
17 locally grown produce and samples of water and also
18 completed a food diary.

19 The Tracking Program and Biomonitoring staff are
20 working on developing a method to communicate the results
21 to individuals and to the community, and that will be done
22 this spring.

23 --o0o--

24 DR. DAS: The second collaboration under this
25 objective is CYGNET, the Cohort of Young Girls' Nutrition,

1 Environment, and Transitions. Just to remind you, this is
2 a study looking at the role of environmental, genetic, and
3 other factors in a cohort of girls who were six to eight
4 years old at the time the samples were originally
5 collected, and continued to receive care at Kaiser. So
6 this is a collaboration with Kaiser in the Bay Area.

7 The girls -- the clinics rather are in Oakland,
8 San Francisco, and in San Rafael. So the samples were
9 initially collected in 400 girls and they're continued to
10 be followed every year. And our labs will be analyzing
11 some of these samples for chlorpyrifos. I'm sorry. I
12 lost track.

13 I'm sorry. We're starting with metals. We were
14 suppose to do chlorpyrifos, but because we needed to
15 coordinate with some of the other centers in other parts
16 of the country, who are not planning to analyze
17 chlorpyrifos, that's on hold. We're planning to analyze
18 metals in blood for this cohort.

19 The PI for this study at Kaiser is Dr. Larry
20 Kushi, and we are in the middle of almost done with
21 completing the MOU with Kaiser.

22 --o0o--

23 DR. DAS: Our third collaboration is on the
24 Maternal and Infant Environmental Exposure Project, which
25 you'll hear about in great detail this afternoon, so I

1 won't say too much about it. But just to remind you, this
2 is a collaboration with UCSF, University of California,
3 San Francisco, and UC Berkeley.

4 And the sample -- we're in the middle of
5 developing the methods and running all the protocols and
6 instruments through our Institutional Review Board. The
7 materials have been submitted to the UCSF Institutional
8 Review Board and will be submitted to the California
9 Department of Public Health in a couple of weeks. You
10 will be hearing a lot more about this this afternoon, so
11 I'm actually not going to say too much more about this at
12 this point.

13 --o0o--

14 DR. DAS: A fourth collaboration that you haven't
15 actually heard about, that I wanted to update you on, is
16 something that was related to an issue that was raised at
17 the last Biomonitoring meeting. The Panel members
18 expressed an interest in having the Program look at some
19 occupational cohorts.

20 And so we identified firefighters as a group of
21 workers who potentially could be exposed to a lot of the
22 chemicals of interest to the Biomonitoring Program. And
23 so we had initiated conversations with Contra Costa
24 County. And we were very encouraged -- our relationship
25 was with the physician for the fire department. And we

1 were hoping to conduct a pilot study of 50 firefighters,
2 looking at the analytes that are listed in blood and
3 urine. This was to coincide with their annual physical,
4 so it would have not involved -- the sample collection
5 would have been pretty easy.

6 In addition, we had proposed environmental
7 sampling in three fire houses for flame retardants and
8 perfluorinated chemicals. However, unfortunately over the
9 weekend we got news that this is on hold for right now,
10 because the management at the fire department didn't
11 support this going ahead. We are still trying to find out
12 if we can have this go forward. But unfortunately, the
13 news isn't as good on this particular collaboration as I
14 wish it was.

15 But if this one doesn't work out, we are pursuing
16 other collaborations, either in Contra Costa county or
17 with other occupational groups. I can see there are some
18 questions, but would you like to ask --

19 PANEL MEMBER WILSON: Keep going.

20 --o0o--

21 DR. DAS: You can ask them at the end.

22 A fourth objective is to assess exposures in a
23 representative group of Californians.

24 --o0o--

25 DR. DAS: And under this objective, since we

1 don't have the funding to collect -- actively collect
2 samples statewide, we have explored the feasibility of
3 collaborating with researchers who have already collected
4 samples and are looking at stored biospecimens and trying
5 to perfect the storage -- looking at the utility of
6 biospecimens for analyses, looking at ways to obtain them,
7 store them, analyze them, and developing appropriate
8 sampling strategies.

9 Some of these collaborations have been mentioned
10 very briefly at other meetings.

11 --o0o--

12 DR. DAS: Some of the ones that we're exploring
13 now are a collaboration with the Genetic Diseases Branch
14 of the Department of Public Health. We have met with
15 them, and we learned that late last year, the branch
16 actually received some stimulus funds to help automate
17 their biobanking procedures for newborn blood spots and
18 maternal serum.

19 This will facilitate tracking, handling, and
20 retrieval of specimens and will enable web-based tracking
21 system to link multiple data sets, including vital
22 statistics, birth certificates, death certificates, et
23 cetera that the genetic diseases branch has been
24 collecting for a long period of time.

25 So our access to these specimens will require us

1 to develop methods to analyze newborn blood spots. This
2 is also an area that both CDC and the Association of
3 Public Health Labs supports, as well as maternal serum
4 samples, but those samples are -- the samples that we will
5 have access to are very small, one milliliter samples, so
6 we'll have to develop some methods to be able to analyze
7 smaller aliquots.

8 In addition, at the last meeting, you heard from
9 Dr. Stephen Van Den Eeden, who described Kaiser's research
10 program on genes, environment, and health. And we've had
11 some additional meetings with Dr. Van Den Eeden to push
12 this collaboration further. And we are very encouraged
13 that we'll be able to piggy-back on some of their new
14 specimen collection efforts, in addition to taking
15 advantage of the vast biobank repository that Kaiser is
16 able to maintain, because of their large patient
17 population.

18 --o0o--

19 DR. DAS: Our final objective is to collaborate
20 with stakeholders and communities.

21 --o0o--

22 DR. DAS: And we are accomplishing this objective
23 through a contract with Health Research for Action in the
24 UC Berkeley School of Public Health. This has a
25 particular emphasis on activities relevant to targeted

1 other groups to actively collect specimens.

2 And this is very encouraging, but we will also
3 need to continue to find resources to assist us,
4 particularly with active collection of biospecimens.

5 In addition, the active collection of
6 biospecimens will involve questionnaire administration and
7 results communication. That also will require additional
8 resources for each population that we look at.

9 --o0o--

10 DR. DAS: And at this point, I'm happy to take
11 your questions.

12 CHAIRPERSON MORENO: Thank you, Dr. Das.
13 Questions from the Panel members?

14 Dr. Wilson, you had a question.

15 PANEL MEMBER WILSON: Thank you, Rupa. That was
16 really interesting and informative. And I'm just -- I had
17 a question about the firefighter study with Contra Costa
18 county. A couple of things, if that was initiated by --
19 you know, by the State Health Department or did that come
20 from either the union or management within the county?

21 And I'm just, you know, curious if you could just
22 describe a little bit more about what happened and why
23 that was derailed, if there's anymore information on that.

24 DR. DAS: The collaboration was initiated by us.
25 It was -- firefighters are a population that has been of

1 interest for some of us in the Biomonitoring Program,
2 because of their potential for exposure and because
3 occupation ties into other interests that we have in our
4 division.

5 And we knew of the physician who's the fire
6 department physician. We've worked with him on other
7 occupational issues related to firefighter screening. And
8 he was very supportive of the collaboration as was the
9 clinic manager, and initially some of the administration.
10 But the decision to not let this go ahead at this time was
11 one that was made at the top management level in the
12 management side of it. It was never actually brought to
13 the union, because our connection was through the
14 physician, and the physician was sort of making the
15 contacts. So that's, I guess, a summary of the course of
16 events.

17 We're not -- we're hopeful that this may go
18 forward, that it's not completely off the table. And one
19 of the possibilities is that it may not be possible this
20 year, but it's something we might want to revisit next
21 year.

22 This time to do this study was particularly
23 opportune because of availability of some extra funds that
24 actually are separate from the two funding sources I
25 described to you, as well as the firefighter physicals, a

1 lot of them coming at the same time, which would have
2 allowed us to get a lot of the specimens in a short amount
3 of time.

4 So this was a good time to do this. And if it
5 doesn't go forward, because management doesn't support it,
6 it doesn't mean that it's completely off the table. Both
7 the physician and the clinic manager are supportive of
8 pursuing this in the future, if it doesn't happen this
9 year.

10 PANEL MEMBER WILSON: If I could just follow up
11 briefly. I mean, you know, my experience, and also
12 working with the San Francisco Fire Department on a
13 respiratory exposure project, you know, has been that the
14 management tends to be cautious in these kinds of
15 questions, because it opens up potential workers'
16 compensation issues and so forth.

17 But I think it's -- and I'd be actually happy to
18 help you with this. That if they have a good
19 understanding of what it is that the project entails and
20 what its goals are and so forth, that it's not derailed
21 completely, I guess I would suggest.

22 DR. DAS: Well, we would welcome any input you
23 could give us and any assistance you could give us in
24 moving this forward.

25 PANEL MEMBER WILSON: Yeah. Sure. Great. Thank

1 you.

2 CHAIRPERSON MORENO: Dr. Quint.

3 PANEL MEMBER QUINT: Julia Quint.

4 I'm also interested in the occupational cohorts
5 that you're considering and some of the criteria. You
6 mentioned the firefighters, and I think they are an
7 interesting group to study. And you mentioned some of the
8 reasons, you know, ease of sampling and the connections
9 you've made. In terms of interventions, depending on what
10 you find, I'm not sure if the exposures are occurring as
11 they're fighting fires or whether or not some of the
12 exposures are just from their surroundings et cetera.

13 But I'm interested in what you've -- some of the
14 other possibilities that you're considering, because I
15 know that it's a group that is important, if you can
16 manage it, to study. And the potential for interventions,
17 in terms of exposures and standard setting and all of that
18 are -- you know, offer a lot of unique possibilities. So
19 could you just say something about some of the other
20 cohorts?

21 DR. DAS: Yeah, you raise very good questions, in
22 terms of firefighters. Is their exposure coming from the
23 fires or is it coming from general environmental
24 exposures? I'm not sure that what we had planned would
25 have been able to definitively answer that. And it really

1 was a convenience. I mean, you know, we were particularly
2 interested in firefighters as an occupational group, but
3 the sample collection really was partially because of
4 convenience.

5 We are very limited in funds and resources. And
6 so whatever we choose has to sort of fit in this -- has to
7 be doable within our resources. But the other
8 occupational groups that have been considered are hazmat
9 workers. In addition, people have expressed an interest
10 in other groups of workers that would be more difficult to
11 reach, because they're not -- they may not be unionized
12 and may not have -- we may not have the connections.

13 For example, janitors and cleaners was one group
14 that was mentioned. Foam workers were another group that
15 was mentioned. Recycling, E-waste recycling workers were
16 mentioned.

17 Those were the main groups that we have
18 entertained very superficially, but have not made any
19 overtures to reaching out to recruit those, because we're
20 focusing on firefighters. And as I said, we just heard
21 about the latest developments this weekend.

22 But in the future, you know, we would appreciate
23 some guidance from the Panel, both where to focus on, in
24 terms of the groups of workers, but also how to access
25 workers. And we feel that we would like to access a

1 unionized workforce. Although, we understand that the
2 non-unionized workers often have the highest exposures in
3 their jobs.

4 CHAIRPERSON MORENO: Dr. Wilson, you have another
5 comment?

6 PANEL MEMBER WILSON: Just following up on
7 Julia's comment. You know, from my experience working in
8 the fire service, there is a really important question
9 that I think this would begin to answer, and that's the
10 exposures that occur at structural fires, where
11 firefighters have removed their self-contained breathing
12 apparatus and are inside the structure overhauling the
13 building, finding hidden fires and so forth. And during
14 that period, the products of combustion are still present
15 and material is continuing to off gas and smolder. And
16 from environmental sampling data, there's evidence of, you
17 know, very high levels of exposure that occur during that
18 period.

19 And the problem has been that we haven't had good
20 information on firefighter exposure, and that solutions
21 like air purifying respirators don't allow filtering of
22 carbon monoxide. And so there's been this worry that
23 firefighters wearing APRs during overhaul would be
24 overconfident in the safety of those devices, and could be
25 carbon monoxide poisoned.

1 And so the fire service has tended to default
2 back to the use of self-contained breathing apparatus
3 during all operations at a structural fire, but it's
4 impractical, because of just the weight and bulk of that,
5 you know, of that level of protection just comes off.

6 And so I think this -- you know, for that
7 population, this is an important project that could
8 be -- that could lead to an important intervention. And
9 the second, I think, sort of source of exposure that's
10 important in the fire service is diesel exhaust from the
11 stations and from all, you know, various operations around
12 the equipment.

13 DR. DAS: Right. Regarding diesel, the physician
14 was interested, and actually expressed an interest in
15 having a biomarker for diesel. So I think there is a lot
16 of interest and awareness that that's an exposure that
17 could occur.

18 And as you mentioned, the time when firefighters
19 aren't wearing their equipment, it was one of the reasons
20 we felt that they might be exposed to products of
21 combustion.

22 PANEL MEMBER WILSON: Right. Thank you.

23 CHAIRPERSON MORENO: All right, if it's okay with
24 the Panel, I want to open it up to public comment and then
25 we can bring it back to the Panel after that for any

1 recommendations the Panel may have for staff.

2 So is there any -- Amy, were there any comments?

3 And were there any Emails sent in?

4 Our first speaker is Davis Baltz with Commonweal.

5 Good morning.

6 MR. BALTZ: Good morning, Dr. Moreno, members of
7 the Panel. Davis Baltz with Commonweal in Bolinas,
8 California.

9 I'd like to just start out, Dr. Moreno, and thank
10 you for your service as Chair of this Panel. I didn't
11 know that you were going to be stepping down. But in my
12 experience of monitoring this Panel as well as experience
13 with a number of other scientific review boards, I'd like
14 to just make the observation that this Panel has really
15 worked very well together, been very cooperative
16 environment, and you've gotten a lot done, and you deserve
17 a lot of credit for that. So wish you all the best in
18 your future endeavors.

19 And I'd like to thank Dr. Das for the staff
20 presentation, current updates on the Program, and I'd like
21 to extend my thanks to the staff as well for the
22 accomplishments that the Program has been able to make in
23 this difficult economic environment, with the limitations
24 of State funding to have been able to marshal additional
25 funding and keep the Program moving forward on multiple

1 fronts. It is a job very well done. As a member of the
2 public, I'd like to thank you for that. Working through
3 furloughs and landing the CDC cooperative agreement is a
4 real accomplishment too. And Mark Davis I know was
5 introduced.

6 And so as someone who supports biomonitoring and
7 its value for public health, here in California we're very
8 grateful to CDC for their support.

9 Dr. Das mentioned, I was glad to see, sort of a
10 reaffirmation of a number of the key objectives and goals
11 of the Program. And the first couple ones related to the
12 scientific integrity of the data that you will be
13 producing. And that's very important. I think we've all
14 understood that biomonitoring data can be very valuable,
15 but it must be unassailable. And this Program is taking
16 the steps through development of the laboratory capacity
17 and the data management -- quality data management to
18 ensure that when data is produced everyone will have
19 confidence that it does reflect accurate exposures that
20 Californians are being exposed too, which will then, as we
21 know, enable subsequent conversations on what we should do
22 with this data which we have confidence in.

23 I was also pleased to see the reminder that
24 another set of activities that is important for this
25 program is the community participation. And, as you know,

1 I've tracked this program from its beginning. And because
2 of funding constraints, we haven't necessarily gotten to a
3 point where there's a lot of opportunity for the public to
4 weigh in. But I can tell you from my own work that people
5 are watching this program carefully and are very eager to
6 see and use the data when it does become available, and to
7 start to provide input at appropriate times.

8 Your community studies in Tulare county and
9 Imperial county, for example, one of the requirements of
10 the program is to provide the opportunity for people to
11 receive their results. And as someone who's been
12 biomonitored myself, as well as my colleagues at
13 Commonweal, I think, you know, we and other civil society
14 actors would be happy to provide some comments on making
15 results communication effective.

16 Similarly, the occupational cohorts, which were
17 touched on, I was disappointed to hear this recent
18 development with the firefighters, and glad to hear that
19 Panel members would like to see the program continue to
20 move ahead with that.

21 Other occupational cohorts were mentioned. I
22 think, you know, the foam workers certainly could be one
23 that I would be interested to see pursued. And similarly
24 with the firefighters, one of the reasons is to tackle
25 this thorny issue of exposure to fire retardants. We have

1 this sort of bizarre and unique situation in California
2 where the Technical Bulletin 117 is likely exposing
3 Californians to far higher levels of these substances than
4 elsewhere in the country. At the same time, we have no
5 evidence that we're preventing fire deaths by using these
6 chemicals. So this is an activity of the Biomonitoring
7 Program we'd really like to see move ahead, again on top
8 of fire retardant exposure in California, and how it
9 differs from national exposures.

10 So I expect I'll have a chance to comment again.
11 And thanks again for the chance.

12 CHAIRPERSON MORENO: Thank you. We have --
13 that's it for the public comment, the people who are
14 present. We have one Email that was sent in, and it's a
15 question. This is from Tim Shestek, Senior Director,
16 State Affairs, American Chemistry Council in Sacramento.

17 The question is, "Is there an update on the
18 Program Report to the legislature that is required by the
19 enacting statute?" And I understand that he may be
20 referring to the legislation -- or the statute requires
21 that the Biomonitoring Program issue a report to the
22 legislature. So if you wouldn't mind providing an update.

23 Thank you.

24 DR. DAS: The report has been delayed, partly
25 because of the furloughs. And we expect that it will be

1 released shortly, but it was supposed to be out to the
2 legislature on January 1st with a requirement that it be
3 release to the public 30 days after released to the
4 legislature. So it hasn't yet gone to the legislature.
5 We would expect that that will happen soon, and then 30
6 days after that released to the public.

7 CHAIRPERSON MORENO: Thank you. I'm sure you're
8 working hard on that, so thank you for the update.

9 Okay. That's it for the public comment. I want
10 to bring it back to the Panel members. This is again the
11 presentation by Dr. Das. Any further discussion or
12 recommendations to Dr. Das?

13 Dr. Luderer.

14 PANEL MEMBER LUDERER: Can everyone hear me?

15 I also wanted to actually thank Dr. Moreno for
16 his excellent leadership of the Panel since its inception.
17 And I think you've done a really amazing job of making
18 sure, you know, that all opinions are heard, and also at
19 the same time keeping us on task and moving through the
20 scheduled -- through the schedule. So thank you very
21 much. We'll miss you. I think I speak for most of the
22 Panel.

23 And then I also wanted to applaud the idea that
24 you have been pursuing the occupational cohort study. And
25 I was also very sorry to hear that there are some issues

1 with it moving forward with the Contra Costa firefighters.
2 And I really agree that there are many questions kind of
3 remaining regarding firefighter exposures, some of the
4 things that were talked about, exposures during knockdown,
5 when less respiratory protection is often used, and also
6 the potential in California for firefighters having
7 greater exposures to flame retardants, which is a class of
8 chemicals that's of great interest to the Panel as we've
9 discussed at other meetings.

10 I wanted to suggest maybe whether you've
11 considered the possibility of exploring collaborations
12 with other firefighter cohorts. Just one suggestion might
13 be the, that I'm familiar with, the Orange County Fire
14 Authority, which is the fire authority that provides fire
15 fighting services for most of Orange County in southern
16 California. Actually, it already has a relationship with
17 the University of California, Irvine, where every three
18 years, 700 to 800 firefighters undergo wellness fitness
19 examinations. And so this might be an opportunity to
20 explore the possibility of a collaboration. So if you are
21 interested, I can put you in touch with a physician whose
22 the medical director for that.

23 DR. DAS: Yeah, we'd be interested in finding out
24 about other potential collaborations and at least
25 initiating the conversation, so that when some -- when is

1 an opportune time and some funds become available, then we
2 are ready to move and study the -- or, you know,
3 collaborate with that department. So I think that would
4 be very helpful.

5 And just to let you know in terms of other fire
6 departments, we had considered also the San Francisco Fire
7 Department and didn't pursue it at the time. So we're not
8 tied to Contra Costa county. We're willing to collaborate
9 with other departments as well.

10 CHAIRPERSON MORENO: Dr. Wilson.

11 PANEL MEMBER WILSON: Mike Wilson. I guess I
12 would just say that I think we appreciate the problem of
13 accessing groups of workers that are not unionized, and
14 that, you know, this issue came up is that are there other
15 cohorts that we might -- that would be of interest. And I
16 think, you know, there certainly are. And, you know, our
17 experience at COEH has been essentially -- you know, it's
18 just been -- the choke point has been access. As they're,
19 you know, a non-unionized group of workers, it's just
20 extremely difficult to gain access to those work places
21 for these kinds of purposes in particular.

22 And, you know, you mentioned that -- and I guess
23 I'm just stating that I'm sympathetic to that challenge,
24 and that the fire department has a long tradition of
25 unionization and, you know, fairly constructive labor

1 management relations as a consequence over many years.
2 And so it's a stable cohort in that way, and a
3 stable -- sort of -- there's a means of access that's
4 accessible there that's not accessible in many other
5 places of employment.

6 DR. DAS: Yeah, I appreciate those comments. And
7 also because this program is in its infancy and we really
8 want to demonstrate success and pilot test methods that
9 can be applied to wider population, given our resources to
10 reiterate what you said, I think it's really important for
11 us to initially at least access all populations, including
12 worker populations, where things are in our favor and
13 they're easy to access and then to broaden it out to
14 harder to reach non-unionized work forces, so that we can
15 demonstrate success in all the different ways that I
16 discussed.

17 CHAIRPERSON MORENO: Okay. Are there any other
18 comments, recommendations by -- yes, Dr. Quint.

19 PANEL MEMBER QUINT: This is just a comment. I
20 wanted to echo -- Julia Quint -- echo Mr. Baltz's comments
21 about the program in general and the great success that
22 you've managed in spite of work furloughs and, you know,
23 getting the extra funding from CDC, you've really kept
24 this program alive and relevant. And I just congratulate
25 the staff and the departments on the excellent work that

1 you've done. I think it's been, you know, tremendous. So
2 I just wanted to make sure that you knew that the Panel --
3 I'm sure you know this -- appreciates all of the effort.

4 Thank you.

5 DR. DAS: Thank you, Dr. Quint. I really
6 appreciate that. And I want to say that I'm just a
7 representative of the program here. But we have many
8 great staff who are actually doing the work and the credit
9 goes to all of them. And I also want to say too just
10 to -- especially for Mark, since he's our program officer,
11 that the CDC staff are not subject to furloughs.

12 (Laughter.)

13 DR. DAS: Don't worry, Mark.

14 (Laughter.)

15 CHAIRPERSON MORENO: All right. If there are no
16 further comments or recommendations, Dr. Das I just want
17 to maybe add two things. One is that I believe it's
18 appropriate -- it would be appropriate for Panel members
19 to continue to think of ideas and contacts for
20 occupational cohorts and share with you.

21 And the other is just to let the Panel know if
22 there's anything that we can do to assist you in further
23 discussions with the Contra Costa Fire Department.

24 DR. DAS: We really appreciate that.

25 Thank you.

1 CHAIRPERSON MORENO: All right. Thanks.

2 Would you be so kind as to introduce the next
3 speaker.

4 DR. DAS: Yes, we have two speakers from the
5 laboratories. The first speak is Dr. Jianwen She. He's
6 the Chief of the Biochemistry Section of the Environmental
7 Health Laboratory Branch in the California Department of
8 Public Health. And I will also introduce the speaker who
9 will follow him, Dr. Myrto Petreas who's the Chief of the
10 Environmental Chemistry Branch in the Environmental
11 Chemistry Lab in the Department of Toxic Substances
12 Control.

13 (Thereupon an overhead presentation was
14 Presented as follows.)

15 DR. SHE: Thank you very much, Rupa for your kind
16 introduction.

17 Good morning, Panel members. I'm happy to be
18 here to report on our progress. Two major events happened
19 during the last six months after I reported in July in
20 2009.

21 As you know, CECBP was awarded by CDC for \$2.65
22 million to expand the laboratory capability and the
23 capacity. And at the same time, CDC released a fourth
24 report on human exposure to environmental chemicals.

25 --o0o--

1 DR. SHE: Today, my update will focus on four
2 areas. First, new staff and training; lab set up and the
3 method development. As you heard before, everyone is
4 concerned about quality, so quality assurance, of course,
5 and also our collaboration with other partners.

6 --o0o--

7 DR. SHE: With the CDC grant, we are able to hire
8 four new staff. And also we plan to hire two more
9 scientists and one laboratory information management
10 specialist. I'm excited to introduce our new staff.

11 As I say your name, please stand up.

12 Shirley Cao. She's our new hired Quality
13 Assurance Manager. She has more than nine years
14 experience in quality assurance.

15 Yangzhu Long. Yangzhu is a Clinical Laboratory
16 Scientist. She will be responsible for biorepository, set
17 up, and sampling, and logging the materials.

18 And Dr. Sen. And Dr. Sen just graduated from UC
19 Santa Cruz got Ph.D. in Biochemistry. He has been working
20 in the inorganic section for the metal analysis.

21 We also hired Josephine, and she's busy in the
22 lab. She's not here today. And she got her BS one year
23 ago from San Francisco State University. She will also
24 work in the inorganic section for the metal analysis.
25 Right now, she's helped to develop a method to do

1 creatinine analysis.

2 --o0o--

3 DR. SHE: All the newly hired staff we are
4 sending to CDC for the trainings. For example, Shirley
5 will be trained in the quality management areas. Yangzhu
6 will be trained for biorepository, sample and standard
7 handling, and materials screenings. And Dr. Sen and
8 Josephine will be trained for method development. Of
9 course, all of the new hires will get extensive in-house
10 extensive training.

11 --o0o--

12 DR. SHE: Last year, EHRB was able to establish
13 organic lab from almost empty space, so you can see from
14 the photograph. Actually, that's only a portion of the
15 lab. So this actually is really a functional labs right
16 now. We use it to develop the method for organic
17 analysis.

18 Currently, with the CDC grant, we are expanding
19 into two new laboratories. At the same time, we also are
20 in the process of purchasing new equipment and sending
21 people for further trainings.

22 --o0o--

23 DR. SHE: CDC released its number four report.
24 Right now, CDC's capacity can cover 212 analytes. As you
25 can see from the graph, CDC grew from 2001, the first

1 report, 27 up to right now they can do eight times more.
2 So the State program is still at its very beginning stage
3 compared with CDC.

4 --o0o--

5 DR. SHE: So come to the method development. We
6 are able to finish two methods in the last year's
7 timeframes. We finished the metabolite analysis
8 trichloropyridinol for the chlorpyrifos, and
9 3-phenoxybenzoic acid for permethrin metabolite. We also
10 finish a high throughput for metal panel analysis. We are
11 able to analyze six elements right now.

12 All of the methods are through very strict
13 quality assurance, quality control procedures. We run 20
14 runs as are required by CLIA.

15 Our number three method is phthalate metabolite.
16 Right now we finished 13 batch of a run. We hope the
17 method will be ready in April.

18 The last method we encountered some difficulty.
19 This is also a method, compared to the other three,
20 required more sensitivity. That's in PPT range. Other
21 method occurred in PPB range.

22 So right now, we are collecting the validation
23 data. And I hope the method will be ready in May this
24 year.

25 --o0o--

1 DR. SHE: What happened to Toyota we do not want
2 to happen here.

3 (Laughter.)

4 DR. SHE: We don't want to have our data
5 recalled. So those events reminded us of the importance
6 of the quality assurance. So quality assurance include
7 quality control and quality assessment. So my focus will
8 be more focused on the quality assessment.

9 For example, we have three levels of QC run with
10 each batch of samples. So we construct quality control
11 charts over the long times to see the trend or the spike
12 in our quality.

13 We also participate in an External Quality
14 Control Performance Assessment Program. And we purchase
15 reference materials, if it's available, to assess our
16 qualities.

17 We are very happy to have from CDC Mr. Mark
18 Davis. He give us a lot of samples in the last few
19 months. We're able to run what CDC runs. And also, we
20 get some samples from New York State to do
21 inter-laboratory validations.

22 --o0o--

23 DR. SHE: This is one example of our quality
24 control chart on the lower level. We have three levels of
25 quality control materials. This is QC low on the TCPy in

1 urine. For the 24 runs, you can see our quality control
2 chart demonstrated we're able to get the accurate numbers
3 which is the mean, and also how much we expect. And then
4 also on the variations, that's a standard deviation there.

5 This simple graph took us almost three or four
6 months, because that includes many samples running, 24
7 batches. Each batch can run like two, three days. Each
8 batch included calibration standards, and then blanks,
9 under the quality control materials. Each batch will go
10 through a very detailed sample preparation and analysis
11 procedure.

12 --o0o--

13 DR. SHE: This is not good graph.

14 This is our inter-laboratory calibration graph.
15 It's dark. You can see compared with the CDC, this X axis
16 is a CDC result. Y is our lab result. The two labs'
17 correlation is excellent on the lead, blood lead analysis.
18 We also did other analyses. This is one example.

19 --o0o--

20 DR. SHE: So now we are ready to use our methods.
21 The first two methods we used for the -- one is for the
22 study Rupa already mentioned for Tulare county. We're
23 able to analyze some samples for the TCPy's. We are not
24 finished, because we still need to finish creatinine and
25 then further cross checking with CDC on our data.

1 at so low levels, background contaminations from lab
2 environmental is very important issue we needed to avoid.
3 For example, for some chemicals we do have the reagent
4 blank, especially like the phthalate, because industry
5 used it so much. And BPA, these are two chemicals
6 laboratory have analyzed, heavy blank issues compared with
7 others.

8 So we do need it to run all of the reagent
9 blanks, chemical blanks to see where is the source. And
10 on the other hand, we also tried to develop a technique
11 able to separate this blank contribution from real
12 samples. For example, we used pre-columns on the HPRC's
13 before we analyze the samples. Any contribution from the
14 solvent, you know the HPLC system will go through two
15 columns. Our sample where it goes through only the second
16 column, so which means the contribution, for example, for
17 the BPA will elute the HPLC much later than the real
18 samples. So you get a separation.

19 So we run the blank to look at the blank issues,
20 and then we try to set up the technique to avoid the blank
21 contribution.

22 PANEL MEMBER WILSON: And was that one of the
23 quality control measures. And were there two others?

24 DR. SHE: There are many quality control
25 measures. For example, also the calibrations. There's a

1 lot of instrument calibration. For each run, we needed to
2 run seven calibration points to make sure our calibration
3 is good.

4 And quality control, as you know, is a big
5 assistance. There are more than three points. And then
6 we also needed to control our standards. With daily check
7 of the standards, we tried to, if possible, tried to
8 purchase at least two standards from different sources for
9 the comparison. We look for the response factor of each
10 standard, and then we also look for relative response of
11 the standards.

12 For this method, we'd like to see the relative
13 response of the standards goes through the clean-up
14 procedure. We still get relative response near two to
15 one.

16 And there are other procedures.

17 PANEL MEMBER WILSON: Thank you very much.

18 CHAIRPERSON MORENO: Dr. Bradman.

19 PANEL MEMBER BRADMAN: I just want to comment on
20 a point, in terms of QA/QC. And also just to confirm that
21 we signed our MOU with Jianwen She this morning. We
22 wanted to get it done by this meeting to look at
23 phthalates in 50 urine samples from children participating
24 in our study.

25 And also just to emphasize, and I know you're

1 thinking about this, but to kind of go on the record, the
2 importance of field blanks as part of the QA/QC. And you
3 know, I'm sure that you've thought about this, as part of
4 these collection programs, including the CYGNET and Tulare
5 study. I think it will be important to include field
6 blanks to make sure that we're not, you know, introducing
7 any contamination as part of the collection process. And
8 I think that the comments from the Dow AgroSciences people
9 kind of highlight that. And that is an important
10 component of field research.

11 And I should mention in our collaboration, we'll
12 be able to produce, you know, field blank samples that
13 were collected -- blank samples that were collected
14 exactly according to the procedure that we used to collect
15 our unknowns, so we'll be able to determine whether
16 there's any contamination from the collection process.
17 But that's an important piece of this program, and I know
18 you're thinking about it.

19 DR. SHE: Yeah. Thank you, Asa. That's a very
20 good comment. With today's instrument you can detect very
21 low levels. But what's happened garbage in, garbage out.
22 So the field sample controlling is very important to
23 control overall project qualities.

24 So I didn't run CYGNET. Dr. Frank Barley did
25 that. So I'm not sure it provided fair blank samples.

1 But for Tulare county, we did collect the blank samples
2 and then we run it.

3 CHAIRPERSON MORENO: Okay. I'd like to -- there
4 might be more questions by the Panel members at this
5 point, but we do need to move on to a presentation by Dr.
6 Petreas. I'm going to leave enough time for Panel members
7 to ask questions of her as well.

8 DR. SHE: Thanks.

9 CHAIRPERSON MORENO: Thanks.

10 (Thereupon an overhead presentation was
11 Presented as follows.)

12 DR. PETREAS: Good morning, Panel members. So
13 this will be the update for the DTSC laboratory. You may
14 remember that I have shown this slide last time we talked
15 about a year ago, showing what was the expectation, where
16 would we be at the end of the year, 2009. And I had asked
17 the question from the Panel to give us direction on which
18 way we should go.

19 Given our resources, we could only do either of
20 the two. Either continue with the persistent organic
21 pollutants, the PBDEs that we were already doing and add
22 the new BFRs in blood, or change course and do the
23 perfluorinated chemicals, which would involve new
24 territory for us, new instruments and new mode of action.

25 We had factored in the furloughs and the

1 We're having a little trouble with the automation
2 modules, which are also part of the CDC method. We're not
3 very happy with their reliability. And given that we deal
4 with like one milliliter of sample, we can't afford to
5 lose anything. And we have some bugs. If we're working
6 with the vendors to improve that, and we're testing
7 against our manual method, which apparently is much more
8 precise and more accurate and more reliable than the
9 automated one. But we have high hopes, because we need
10 the automation to improve our throughput.

11 In the meantime, we continue with sample
12 analysis, so we can produce data on PBDEs using a hybrid
13 of high resolution mass spec in the end, but using our
14 manual processing before that.

15 For the perfluorinated chemicals, we did adopt
16 from scratch the CDC methodology, because we didn't have
17 any. This involves liquid chromatography which was new
18 for us again. So far, given what Jianwen described in
19 terms of quality control and charts and blanks and
20 calibration, all our internal validation criteria have
21 been met.

22 So these are all the 20 or so batches. And we're
23 very happy. We're undergoing external validation. In the
24 first round we did with a material that CDC sent us, we
25 underestimated. So we want to see why. And for that we

1 requested to get a standard from CDC to see whether our
2 standards are off. And at the same time, we're getting
3 material standards and blood from our colleagues in New
4 York State and Sweden, who are more experienced than us in
5 PFC analysis -- in perfluorinated analysis. So with this
6 feedback, we hope to be, you know, very soon be able to
7 produce data.

8 We have our standard operating procedure drafted
9 and in review, and we should be able to do something soon.
10 And I want to credit our two staff. We only have two
11 staff, remember, funded for this program. And Yunzhu Wang
12 on the left has done all the work with the PBDEs. And Dr.
13 Miaomiao Wang has done the PFCs. So we're really grateful
14 for their work.

15 --o0o--

16 DR. PETREAS: I also want to show our Fellow.
17 APHL, Association of Public Health Laboratories, gave us a
18 Fellowship. And we hired Dr. Harwani, who's been really
19 valuable. You know, he's worked with the other staff on
20 method developments in blood. He's been with us for
21 almost a year and a half. And his Fellowship ends in
22 June. We really want to get another Fellow, because
23 really adding one person to the two makes a big
24 difference. It's very valuable, and we hope to get
25 another Fellow after that.

1 with a veterinarian. Cats near the ground, they groom
2 themselves. And again, our very preliminary data show
3 very, very high levels in cats, which is interesting. And
4 we can use them maybe as sentinels for environmental
5 exposures.

6 We also look at plastics from consumer products
7 to even plastic debris in the ocean, because this is a
8 different idea of thinking how plastics serve as -- can
9 absorb chemicals or can decompose and release chemicals in
10 the environment, which give us a better handle on exposure
11 assessments.

12 So should the program want to go into an exposure
13 assessment, these are ideas we can work and incorporate.

14 --o0o--

15 DR. PETREAS: Now, as far as the UCSF pilot
16 study, this is in collaboration with the Dr. Woodruff and
17 Zota from UCSF OBGYN. They gave us 25 samples from
18 pregnant women. We did 18 of them so far, because we
19 needed to catch a deadline for a proposal they wanted.
20 And we only reported PBDEs so far.

21 And as you can see, our mean PBDE level is more
22 than twice the NHANES geometric mean. So again, it
23 confirms that Californians are higher than the rest of the
24 country.

25 So to put this in perspective, I have a graph I

1 can show.

2 --o0o--

3 DR. PETREAS: I don't have a pointer
4 unfortunately. So these are data from serum from
5 California women we have done over the last few years.
6 And if we start from the complete left, basically you see
7 nothing, because there was nothing measurable. These are
8 samples for the 1960s. We did over, you know, several
9 hundreds of them. And there was no PBDEs.

10 I'm only showing BDE-47, the most prominent of
11 the PBDEs here, just as an example. So back in the
12 sixties it wasn't present. And the next time we saw it
13 was samples collected in the late nineties. These were
14 Laotian immigrants to the Bay Area. These were
15 reproductive-age women. And this is the second bar about
16 40 -- 50. This is the mean and standard error showing
17 here.

18 The thick line around 20, 19.6 to be exact, is a
19 geometric mean from NHANES 2003/4. That was the first
20 time NHANES reported BDE-47.

21 So back in the sixties it wasn't present. And
22 then every time after that, we are above the NHANES data.
23 The third bar are the 18 samples from UCSF I just
24 mentioned. So again they're very high. Interestingly,
25 the very last graph, the bar, is blood from adult females

1 taken at the same time as the pregnant women, but they're
2 not pregnant. And we can talk a little bit more about
3 what this may mean.

4 I added here to the same graph the dotted line
5 comes from the Zota paper of a year ago, showing when they
6 were able to extract Californian data out of the NHANES
7 and showed that the NHANES -- the geometric mean for
8 California participants of NHANES was much higher, in
9 fact, 36.2 versus 19.6.

10 And our contemporary data are clearly above the
11 NHANES and some of them are above the California NHANES,
12 but the older women, which is my point here, are not. And
13 this is an interesting point. The last -- again, the last
14 bar shows it's only nine of course, so there may be a
15 change. There are nine woman who are not of reproductive
16 age, a little older as a group. And these were collected
17 as part of our method development. So this is part of our
18 pilot to collect -- one of them -- blood for people to
19 develop methods.

20 And so we see lower levels than the younger and
21 also pregnant women. So that's something to keep in mind.
22 We know it has been reported that younger people have
23 higher levels of PBDEs than older people. This may be an
24 explanation. Also, the fact that these are pregnant
25 women, maybe different reason.

1 And I'm showing here in blue -- it's again the
2 same graph. These are not California -- these are Mexican
3 women, pregnant Mexican women, samples we collected in
4 1998. This was a study with UC Berkeley women from
5 Chiapas, as part of a DDT kind of exposure, malaria
6 control. But we measured PBDEs in them and they were much
7 lower than the contemporary at the time, 1998,
8 Laotian-born immigrants in the state. So you see a
9 difference between Californian and, you know, Mexicans
10 over the time there.

11 And going back to the UC -- we've very excited
12 about the UCSF data, so I want to share that with you.

13 --o0o--

14 DR. PETREAS: This is another way to present the
15 data. This is from unpublished work from Zota and
16 Woodruff, where they were again able to extract
17 pregnant -- results from pregnant women from the NHANES.
18 And that's the -- there were 75 pregnant women, I guess,
19 from NHANES that they could identify. And their median
20 was above the 50th percentile of the NHANES.

21 So even within NHANES, pregnant women were higher
22 than the average, but then the UCSF group was even higher
23 than that. So this is interesting, and it gives us an
24 idea of probably what to expect when we do the Maternal
25 and Infant Exposure study. So these are high levels and

1 we should be able to measure them pretty well.

2 --o0o--

3 DR. PETREAS: So what's coming up?

4 Hopefully, we'll have a method with the
5 perfluorinated chemicals validated very soon. And we'll
6 apply the method to analyze contemporary and archived
7 serum. They are not California data, as far as I know.
8 So these are badly needed and will give us a base line on
9 where we are.

10 And once we finish the PFCs, we plan to go back
11 and revisit their methodologies for brominated flame
12 retardants and try to expand our repertoire there. We are
13 funded to do a small study in collaboration with the
14 Occupational Health Branch of DPH, on measuring PBDEs and
15 other BFRs in flight attendants. And we're again waiting
16 to get going with our analysis of contemporary California
17 men, PBDEs in those as part of the response to the Request
18 For Information that we had issued two years ago. And
19 we're working with Columbia University on that. So this
20 is what we're supposed to be doing next.

21 --o0o--

22 DR. PETREAS: And just in ending, I want to take
23 this opportunity to invite you, if you're interested, we
24 have these series of seminars, twice a month. And
25 tomorrow's speakers is a Derek Muir for Environment

1 Canada. And Canadians have been really in the forefront
2 of identifying chemicals of concern. And he's going to
3 talk about how to identify the next generation of
4 persistent bioaccumulative chemicals. So I think this may
5 be something that the Program would be interested in. It
6 will be in our building at 2 o'clock. Unfortunately, it
7 cannot be telecast.

8 And also the next day we have another out-of-town
9 speaker on biomonitoring of perfluorinated chemicals in
10 Minnesota. That's 3M country. So she's going to talk
11 about her study on PFCs, and it may be of interest. It's
12 mostly a laboratory issue. So if anyone is interested in
13 coming or if you want to be part of our mailing list, you
14 know, let me know.

15 So that's my update.

16 CHAIRPERSON MORENO: Thank you, Myrto.

17 So we'll take questions from Panel members now
18 for this presentation or either presentation.

19 Dr. Bradman.

20 PANEL MEMBER BRADMAN: Just a comment. One, your
21 PBDE results are very interesting, and very similar to
22 what we're finding in our cohort in the Salinas valley.

23 I just want to underscore and highlight your
24 comment about measurements during pregnancy may be
25 different than measurements at other times. In our

1 studies, looking at urinary metabolites in pregnant women,
2 we've seen substantial differences during pregnancy and in
3 the days just after.

4 And interestingly, in the samples collected in
5 the days just after birth, the urinary metabolites were
6 much higher than the levels during pregnancy. We don't
7 quite know what that means from a pharmacokinetic point of
8 view, but clearly there's a lot of changes going on
9 physiologically during pregnancy and in the period after
10 as well. We also tend to see a trend with slightly lower
11 levels of persistent organic compounds over pregnancy,
12 over time. It's very slight, but there seems to be a
13 decline that may be related to changes in body fat and the
14 equilibrium with levels in blood.

15 We also have had to deal with issues about
16 changes in immunoglobulin binding proteins during
17 pregnancy, changing during the course of pregnancy, and
18 possibly changing some measurements related to either
19 xenobiotics or thyroid or other factors.

20 So again, just to highlight, when we're doing
21 measurements during pregnancy like, in a way, when we're
22 doing measurements in children, we can't -- we have to
23 understand there's a lot of changes going on, and the
24 interpretation of those may not be so straightforward, and
25 we have to be careful in our comparisons. And I think

1 that's an important point you raise and something that all
2 biomonitoring projects should consider as they go forward
3 when they're looking at that population.

4 DR. PETREAS: Yeah. I think it will be useful
5 when we start the maternal infant study to know what to
6 expect.

7 CHAIRPERSON MORENO: Dr. Solomon.

8 PANEL MEMBER SOLOMON: Yes, I just wanted to say
9 that I'm very impressed that you managed to, within pretty
10 much the original timeline, move forward with both
11 methods, which I think, since our Panel was having
12 difficulty picking one, you gauged correctly that we were
13 hoping that there would be some way to move forward with
14 both. That's fantastic.

15 And I'm also very glad to hear that you're still
16 looking at the newer flame retardants, because those are
17 still -- I mean, I think from our previous discussions,
18 that there was a lot of interest in the Panel on looking
19 at the newer ones as well. And I understand your decision
20 to put those aside for awhile. And now if those are
21 picked up again, that would be fantastic.

22 My question actually is for Jianwen She about the
23 phthalates, because I noticed that they're in progress,
24 and four phthalate metabolites are listed. And I remember
25 at the previous meeting there were some discussion about

1 difficulties with some of the phthalates. And I was
2 wondering if you could update us on that.

3 DR. SHE: And four phthalate. MEP, MBP, MBZP,
4 MCCP. Right now, within the four of them, we are able to
5 run all of the 13 batches. For two of them and MEP and
6 we're able to get the standard reference materials from
7 our German program. We're able to match their qualities,
8 so our results match with them.

9 And MBP, we're able to match the result samples
10 that Mark sent to us. So for these two, we don't think we
11 have any further problem, but we needed to watch our
12 stabilities.

13 For MCPP and MBZP. I think for MCPP the simple
14 issue we cannot match relative response factors between
15 the isotope labeled standard and the target compound.
16 That's three times off there. We've tried to troubleshoot
17 what's happening. We talked with Cambridge Isotope, and
18 then I think that's where it will be a very easy issue, if
19 they're able to have a different batch of standards for us
20 to test. So we will overcome that issue.

21 For MBZP, it's looking like there's more issues
22 there. The sample is not so stable after you extract out
23 from the urine. So we still needed to work on much more
24 on MBZP to figure out what happened.

25 And so that's a lot of the quality issues right

1 now to look at. So, you know, in summary, we solved two
2 of them, and then we also solved the like Mark mentioned
3 of this solvent contamination problem. With all of them,
4 to some extent, they all have the contribution from the
5 solvent, even from the system. We're able to use
6 pre-column to separate the different peaks from the
7 solvent and from the samples. But it's the other part
8 that we still need to work on.

9 CHAIRPERSON MORENO: Okay, why don't we take one
10 more question and then we'll go to public comment.

11 Anyone else, Panel members?

12 Dr. Wilson.

13 PANEL MEMBER WILSON: Yeah, thank you. Myrto, I
14 had a question about the graphic comparing the 1960 cohort
15 to the more recent ones.

16 And it's interesting that the doubling time would
17 be consistent with what we've seen in Sweden in their
18 breast milk study, of flame retardants over the last
19 several decades, where they've seen this doubling time
20 every five years. That trend would be consistent with the
21 U.S. based -- the U.S. based finding, which I
22 think that's -- is that adult female for the NHANES?
23 Let's see. Your adult female in the far right, is that
24 U.S.?

25 DR. PETREAS: Yes.

1 PANEL MEMBER WILSON: Okay. And, you know, then,
2 of course, we're obviously much more rapid doubling time
3 for California. And I'm just curious how confident you
4 are in looking at the findings from 1960 in their -- in
5 just sort of the technology of detecting these substances,
6 if you feel confident in that as a baseline value.

7 DR. PETREAS: All of these samples were done in
8 our lab.

9 PANEL MEMBER WILSON: I can't quite hear you.
10 Thanks, Myrto.

11 DR. PETREAS: All these samples were analyzed in
12 our laboratory the last few years. So it hasn't been
13 reported elsewhere. This is our data with our system.
14 And we had done, in fact, the sixties in the 1998 at the
15 same time. So same exact technology same staff person
16 doing it, we saw this difference.

17 I didn't get exactly what you meant about -- I
18 want to point that both of the last bars, the adult female
19 in the UCSF are the same year, 2009 from California.

20 And the only possible reason we see this
21 difference, aside from chance, because you have very small
22 numbers, may be the age or being pregnant. So I don't see
23 a decline. It's the same time period, both of them were
24 done in 2009, collected 2009.

25 PANEL MEMBER WILSON: Right, that's --

1 DR. PETREAS: So I don't see a decline that you
2 see.

3 PANEL MEMBER WILSON: No, I don't think -- I
4 probably misstated. I wasn't looking at a decline or
5 talking about a decline. I was just struck by the -- just
6 the high numbers that we're seeing for California. And
7 it's an important finding. And thank you for clearing up
8 that question from the 1960's data.

9 CHAIRPERSON MORENO: Okay.

10 PANEL MEMBER BRADMAN: Michael, you understand
11 the CHDS samples have been stored since the sixties in a
12 deep freeze.

13 PANEL MEMBER WILSON: Right. I hadn't understood
14 that.

15 CHAIRPERSON MORENO: Okay.

16 PANEL MEMBER WILSON: Thank you.

17 CHAIRPERSON MORENO: Okay, thank you. I'm going
18 to go head and open up for public comment before bringing
19 it back to the Panel. Amy, are there any -- did anyone
20 submit requests to speak?

21 MS. DUNN: There's no Email.

22 CHAIRPERSON MORENO: Okay, that's fine. Thank
23 you.

24 And any Emails coming in?

25 Okay, so we'll bring it right back now to the

1 Panel. This is the opportunity for further discussion.
2 And any recommendations that you might want to make to
3 staff?

4 Okay, I don't see any. So I want to thank our
5 presenters again. We do have a -- oh, yes, go ahead.

6 PANEL MEMBER LUDERER: This is for Dr. Petreas.

7 I do actually have a question about the same
8 graph that we just were looking at related to the PBDEs in
9 general. So, in general, are the PBDEs, if the samples
10 are stored appropriately, you know -- I don't know if
11 they're stored at minus seventy, then they are considered
12 to be stable for that long period of time. I mean, five
13 decades, if we're talking about from the sixties. I mean,
14 do we have information about that?

15 DR. PETREAS: We don't have -- I don't have
16 information for the PBDEs, but NIHS, Longnecker, had done
17 work on organochlorine pesticides, and PCB stability. And
18 there was no problem. So we assume it's the same. I
19 mean, we had thought when we first saw the Swedish datum
20 on milk, that was data from archiving from the seventies
21 all the way to eighty something. And there was an
22 increase.

23 So the question was did something happen to the
24 old specimens and they degraded? I mean, I think data
25 since then have shown that levels are increasing anyway.

1 So we believe that nothing had happened to those samples
2 either. But nobody has -- I mean, it takes a long time to
3 do this, so we have to keep our samples, analyze them 10
4 years from now, but this involves more handling every
5 time.

6 PANEL MEMBER LUDERER: Yeah. And then the other
7 question was about the adult females in the last borrow.
8 Were those women in the same population as the pregnant
9 women, so they were from, or was --

10 DR. PETREAS: No, we can't say they represent
11 anything. These are convenience samples that we did for
12 our method development, but they weren't pregnant and they
13 were older.

14 PANEL MEMBER LUDERER: Thank you.

15 CHAIRPERSON MORENO: Okay. Any further comments
16 or recommendations?

17 It doesn't appear that there are anymore. Okay,
18 well then thank you again. And at this point we're going
19 to go ahead and move on to our next presenter, Dr. Gail
20 Krowech with OEHHA talking about potential designated
21 chemicals.

22 Good morning.

23 (Thereupon an overhead presentation was
24 Presented as follows.)

25 DR. KROWECH: Good morning. Okay, there is one

1 potential designated pesticide today. Pendimethalin.

2 MS. DUNN: I can advance the slides from here.

3 DR. KROWECH: Okay, that would be fine.

4 --o0o--

5 DR. KROWECH: This slide shows the criteria for
6 recommending additional designated chemicals, so I'll just
7 list them here. Exposure or potential exposure to the
8 public or specific subgroups, known or suspected health
9 effects, need to assess the efficacy of public health
10 actions, the availability of a biomonitoring analytical
11 method, the availability of adequate biospecimen samples,
12 and incremental analytical costs.

13 These criteria, just to review, are not joined by
14 ands.

15 Next slide.

16 --o0o--

17 DR. KROWECH: This is the structure of
18 Pendimethalin. It's a dinitroaniline herbicide. It's one
19 of the top 100 pesticides in California. And over one
20 million pounds were applied in California in 2008.

21 --o0o--

22 DR. KROWECH: In terms of use and exposure,
23 Pendimethalin is used on agricultural crops, golf courses,
24 landscape maintenance, residential lawn care.

25 The California Department of Pesticide Regulation

1 reported in its pesticide use report that over 1.4 million
2 pounds were applied in 2008 in California. Uses nearly
3 tripled within the last five years, and increased 29
4 percent between 2007 and 2008.

5 Pendimethalin was found in rainfall samples in
6 four agricultural watersheds across the country in a U.S.
7 Geological Survey study. And in terms of the California
8 samples, Pendimethalin was found in 78 percent of the
9 samples.

10 --o0o--

11 DR. KROWECH: This slide shows a table of use in
12 California from 2002 to 2008. You can see the large
13 increase, particularly in certain crops alfalfa and
14 almonds, oranges, and a decrease in cotton.

15 --o0o--

16 DR. KROWECH: Pendimethalin is classified as a
17 possible human carcinogen by the U.S. Environmental
18 Protection Agency. Group C. This designation is based on
19 thyroid tumors in rat cancer studies. And U.S. EPA
20 considered this to be a high-dose effect. In a 1997
21 review, U.S. EPA concluded that Pendimethalin was not
22 mutagenic in mammalian cells.

23 However, recent studies suggest that
24 Pendimethalin may be genotoxic. There are knew studies
25 that show chromosomal aberrations in mouse bone marrow

1 cells, and DNA strand breaks in Chinese hamster ovary
2 cells.

3 There's also a study reported -- an in vitro
4 study finding Pendimethalin is both estrogenic and
5 anti-androgenic.

6 There are three epidemiologic studies from the
7 agricultural health study cohort of studies of pesticide
8 applicators, which suggests an association between
9 Pendimethalin and certain cancers.

10 --o0o--

11 DR. KROWECH: This slide shows the physical and
12 chemical properties of Pendimethalin. And Pendimethalin
13 has been identified as persistent, bioaccumulative, and
14 toxic under the U.S. EPA Emergency Planning and Community
15 Right to Know Act of 1986 or EPCR.

16 No past biomonitoring studies have been
17 identified. In terms of analytical methods, the Program
18 would need to develop methods. The likely biological
19 matrix would be urine. And when methods are developed,
20 they could be bundled with other dinitroanilines, such as
21 trifluralin.

22 --o0o--

23 DR. KROWECH: In terms of the need to assess
24 efficacy of public health actions, this is a widely used
25 pesticide. Recent findings suggesting potential

1 genotoxicity and endocrine disruption and findings from
2 the epidemiological studies highlight the need for further
3 studies. Biomonitoring would help assess the extent of
4 exposure in California.

5 --o0o--

6 DR. KROWECH: Are there any questions?

7 CHAIRPERSON MORENO: Thank you.

8 Questions for Dr. Krowech?

9 Yes, Dr. Quint and then Dr. Solomon.

10 PANEL MEMBER QUINT: Thank you, Gail. Julia
11 Quint. I was very struck by the marked increase on
12 certain crops. And I'm wondering is that related to more
13 production of these particular almonds or whatever that
14 we're producing or switched to a different -- you know,
15 that switch to a different use? I mean, were we using
16 something different on these crops before? Do you have
17 any clue as to why we're using so much more?

18 DR. KROWECH: I think the difference might be --
19 the increase might be different in different cases. And
20 so I think the difference in alfalfa has to actually do
21 with a court case against something that had been used
22 previously. And so this was replacement. I know with
23 carrots, which are not even on this list, but it turns out
24 that Pendimethalin was better -- you know, less damaging
25 to their roots than what had been previously used. So I

1 think there might be differences depending on the crop.

2 PANEL MEMBER QUINT: Great, thanks.

3 PANEL MEMBER SOLOMON: This is Gina Solomon.

4 I was interested in some of the pharmacokinetics
5 on this chemical. I did a little bit of digging, but not
6 much. And one of the things that struck me is that, at
7 least the couple studies that I saw, you know, ninety plus
8 percent of administered dose was excreted within the first
9 24 hours, and was excreted largely in feces, which implies
10 fairly poor bioavailability.

11 But that was -- I can't even remember what the
12 animal model was. I'm sure a rat. And so then that made
13 me wonder, you know, how likely it is that this chemical
14 would be absorbed especially since herbicides are often
15 not a major residue issue on food.

16 But then there was some recovered in urine. And
17 so it suggested the potential to biomonitor for it in
18 urine. I was just -- my concern was not so much about the
19 feasibility of biomonitoring in urine as the, you know,
20 sort of relative likelihood of exposure based on the
21 pharmacokinetics. So I was just wondering if you had
22 looked at any of that and could comment on that?

23 DR. KROWECH: I didn't do a thorough search. We
24 don't generally do that. I didn't come across that. I do
25 know that some of the suspected toxicity was thought to be

1 due to metabolism. So I really can't say more than that.

2 PANEL MEMBER WILSON: Thank you, Gail. Mike
3 Wilson. And also, thank you for the briefing document.
4 It was really concise and well put together, very useful.

5 And I had the same question that Julia Quint has
6 raised about, you know, the sort of the second piece of
7 that is, it's striking to me that a substance that is
8 classified by U.S. EPA as a PBT would be approved for use
9 in California. That classification is a fairly high bar
10 under U.S. EPA., and it's not a large list of substances.

11 So I'm just curious if you had any communication
12 with the Department of Pesticide Regulation and what
13 their -- if they had an exposure justification or some
14 other justification, perhaps as Dr. Solomon is raising for
15 granting the approval for this substance in California.

16 DR. KROWECH: No, I haven't, so I don't know.

17 PANEL MEMBER WILSON: Do we have access to that
18 process in DPR as far as you know?

19 DR. KROWECH: I think that we can ask and
20 communicate. I do know that they consider -- actually,
21 the only thing that I have communicated with them and know
22 is that they really consider the carcinogen identification
23 as a high-dose effect, as does U.S. EPA. So I think that
24 was -- but in terms of PBT status, I don't know.

25 PANEL MEMBER WILSON: Okay, thank you.

1 DR. KROWECH: Yes. And someone from DPR did
2 review the document.

3 CHAIRPERSON MORENO: Okay. Any other questions
4 from the Panel before we open it up for public comment?

5 Okay. So, Amy, do we have anyone submitting a
6 request to speak?

7 MS. DUNN: There are no via Email.

8 In the room, Davis Baltz.

9 CHAIRPERSON MORENO: No Emails. Okay, thank you.
10 Any other speakers?

11 Okay. Please introduce yourself.

12 MR. BALTZ: Davis Baltz with Commonweal.

13 Thanks for that great presentation. I think
14 that, you know, Gina's question about what is the
15 potential for actual exposure is an important one to
16 explore. But given the staggering increase in the use of
17 this substance, I don't think there's any reason not to at
18 least designate it. So from a public interest
19 organization, I hope that you would take that step to at
20 least designate it today.

21 Thank you.

22 CHAIRPERSON MORENO: Okay. Anyone else wish to
23 speak on this topic?

24 I don't see anyone else.

25 All right, then I'll bring it back to the Panel.

1 It's the Panel's opportunity for further discussion and to
2 make any recommendations.

3 Dr. Solomon.

4 PANEL MEMBER SOLOMON: Yeah, I think -- I mean,
5 in review of this document, there were two very good
6 reasons to at least, you know, seriously consider
7 designating this chemical. One is that, you know, as our
8 Panel has discussed in the past, we're looking for, you
9 know, sort of one of our -- not in the statute, but as a
10 panel priority-setting or designation-setting criteria had
11 to do with chemicals that are increasing or decreasing in
12 some significant way in California.

13 And here is a chemical that's brought to our
14 attention that has been substantially increasing in use,
15 and that in and of itself is a reason to, you know,
16 consider looking for it.

17 The other issue, obviously, as I think Dr. Wilson
18 pointed out, the persistent bioaccumulative toxicant
19 designation also would make it something that we would be
20 of -- that would be of interest.

21 I think I was so -- all of those certainly argue
22 for designating the chemical. In terms of prioritizing
23 it, I have to say that I was not totally blown away about
24 the idea of making this a very top priority for the
25 Program because of the experience with CDC and looking at

1 herbicides, and not tending to find a lot in the NHANES
2 program.

3 The fact that herbicides tend not to be as
4 commonly found as food residues. So we would be more
5 looking for direct exposure kind of issues potentially
6 water, though I didn't see drinking water. I think it
7 hasn't really been looked for in drinking water, but maybe
8 I'm wrong.

9 It seems like it could get into drinking water,
10 so that would be a possible exposure pathway. And then
11 this, what seemed to be, very low bioavailability in the
12 pharmacokinetic studies that I looked at, that made me
13 think well, you know, most of an ingested dose would
14 probably not be absorbed. Though, clearly a fraction was
15 being absorbed, thereby meaning that it would be
16 biomonitorable.

17 So, you know, my take in looking at this was that
18 this is probably worth having on our -- you know, being a
19 designated chemical for our Program, maybe not being a top
20 priority.

21 CHAIRPERSON MORENO: Thank you.

22 Further discussion?

23 Dr. McKone.

24 PANEL MEMBER MCKONE: Probably this echoes Dr.
25 Solomon's comments, but in a little bit different

1 perspective. You know, we did agree early on that we
2 wanted to pick things that were on the rise, right.
3 That's why we picked siloxanes, not because we believed
4 that they had any evidence currently that they were highly
5 toxic, but just that -- but in the case of siloxanes,
6 they're used in the residential environment, so there was
7 a lot of human contact.

8 And I do think the point is important that, you
9 know, pesticides that show up are really in food. And
10 food pathways are very important. And actually this is
11 true for a lot of substances. I think even for many PAHs,
12 that you see in the NHANES data, they really seem to be
13 coming in by food pathway.

14 So I think to -- this one needs a little more
15 work on the plausibility of the exposure pathways. If
16 there is a water runoff pathway to nearby water systems,
17 the high -- I'm looking at the chemical properties. It
18 would suggest a bioaccumulation through food webs, aquatic
19 food webs, but, you know, that may not -- we don't get
20 that much of our aquatic food in California from surface
21 waters. It would be ocean supplies. And so it would be a
22 limited population that may be at risk, like people who
23 fish locally in the areas that would get the runoffs. So
24 the likelihood of finding those people, unless it's a very
25 targeted survey, would be low, so we probably wouldn't see

1 it in the biomonitoring.

2 PANEL MEMBER BRADMAN: I just want to make a
3 comment on this chemical, in terms of our experience
4 looking at pesticide residues and house dust in the
5 Salinas Valley.

6 From a physical chemical point of view, this is
7 very similar to another compound we've looked at a bit
8 called dacthal or chlorthal dimethyl, which has a fairly
9 high Log KOW. And we're finding in general that compounds
10 that have a fairly high Log KOWs tend to persist. They
11 also tend to adhere to particles and end up in house dust.
12 And we've seen, for example, dacthal. In Salinas, we
13 find it in almost every dust sample. When we collect a
14 sample from Oakland, we don't find it in any.

15 And I wouldn't be surprised if this is the kind
16 of compound that would be showing up in residential
17 environments from either drift or maybe physical transport
18 on clothing or dust, not so much from vaporization and
19 volatilization and resettling. But I would -- I think
20 it's very likely that this is showing up in residential
21 environments.

22 And it might be something to consider, again, as
23 a designated chemical. And perhaps with more and
24 different kinds of environmental data, it's something to
25 look at in more detail in the future.

1 CHAIRPERSON MORENO: Okay. Dr. Luderer.

2 PANEL MEMBER LUDERER: Actually, the comment that
3 I had was related to what Dr. Bradman just mentioned. I
4 also wanted to say that I agree with all of the reasons
5 that have already been given by Panel members for why it
6 might make sense to designate this chemical, you know, the
7 increase in usage in California. The persistence. I
8 think, another reason is that it currently is not
9 biomonitored by the CDC, and there really is no data. And
10 then the final point that I wanted to make related to what
11 Asa was just talking about, is the potential for
12 residential exposure.

13 At least in the documents that we were given, it
14 was mentioned that this is a used in residential lawn
15 care, and landscaping. And so I know it's more difficult
16 to acquire data about pesticide use through consumer
17 products. And that's something that the Panel has talked
18 about before, that this might be a route of exposure of
19 concern, but that's more difficult to assess, because it's
20 not captured in the crop pesticide use data.

21 And so I would, you know, add that as maybe
22 another reason why we should consider designating this
23 chemical. But I also agree that assessing additional
24 information about potential levels of this chemical, for
25 example in house dust and other environmental media, would

1 help to -- help us to decide in the future whether we
2 might want to also prioritize this chemical.

3 CHAIRPERSON MORENO: Thank you.

4 PANEL MEMBER WILSON: Mike Wilson.

5 In my mind, this substance and as it has been
6 flagged by OEHHA, is a, you know, perfect candidate for
7 designation, and I would encourage the Panel to do so for
8 the reasons that we've heard.

9 But, of course, you know, it's growing use, the
10 fact that it's persistent and bioaccumulative, so we're
11 increasing the likelihood of exposure over both time and
12 space. And that it has toxic properties. It's, you know,
13 we've learned in so many cases and, you know, PCBs are a
14 good example, substances that were intended to be used in
15 insulating equipment, for which there would be virtually
16 no possibility of human exposure, and we're still finding
17 them in the population with a lot of uncertainty about
18 what the routes and the paths of exposure are and so
19 forth.

20 So, in my mind at least, I would very much
21 support designating this substance.

22 CHAIRPERSON MORENO: Dr. Quint.

23 PANEL MEMBER QUINT: I just want to add to the
24 list of reasons to designate the fact that it's a
25 suspected endocrine-disrupting chemical is really

1 important to me, in terms of, you know, concentrations
2 that we might -- people might be exposed to that could be
3 harmful.

4 And nobody mentioned -- we're talking about, you
5 know, residues of these herbicides and runoffs and stuff
6 like that. I suspect -- I don't know what the tolerance
7 levels, in terms of the crops themselves as an almond
8 eater, and a carrot eater. I suspect that that's
9 controlled for, but do we know anything about residual
10 levels of this herbicide on the crops themselves?

11 DR. KROWECH: I didn't see anything in this in
12 the residue reports. So I think if it is, it's, you know,
13 very, very -- well, it's not in there.

14 PANEL MEMBER QUINT: Yeah, the reason I ask that
15 is because if, you know, up until now, it's considered
16 toxic only at high doses. And it seems to me that
17 toxicity database is developing. I mean, you've talked
18 about genotoxicity data. We're talking about suspected
19 endocrine-disrupting activity. So, you know, the
20 tolerance levels may be set based on old data, so it's
21 another reason for concern.

22 CHAIRPERSON MORENO: All right. Any other
23 discussion by the Panel?

24 Okay. Is there an interest among the Panel to
25 make a recommendation at this time?

1 Dr. Wilson.

2 PANEL MEMBER WILSON: I would make a motion that
3 the Panel designate Pendimethalin as a designated chemical
4 under the State's Biomonitoring Program.

5 CHAIRPERSON MORENO: Okay. Thank you.

6 Do we need a second on that?

7 CHIEF COUNSEL MONAHAN-CUMMINGS: (Nods head.)

8 CHAIRPERSON MORENO: Is there a second?

9 PANEL MEMBER MCKONE: Second.

10 CHAIRPERSON MORENO: Dr. McKone seconded.

11 Okay, further discussion by Panel members on the
12 motion?

13 And is everyone clear on the motion?

14 Okay. So I'll go ahead and take a roll call
15 vote.

16 Dr. Kavanaugh-Lynch?

17 PANEL MEMBER KAVANAUGH-LYNCH: Yes.

18 CHAIRPERSON MORENO: Dr. Quint?

19 PANEL MEMBER QUINT: Yes.

20 CHAIRPERSON MORENO: Dr. Bradman?

21 PANEL MEMBER BRADMAN: Yes.

22 CHAIRPERSON MORENO: Dr. Solomon?

23 PANEL MEMBER SOLOMON: Yes.

24 CHAIRPERSON MORENO: Moreno yes.

25 Dr. Luderer?

1 PANEL MEMBER LUDERER: Yes.

2 CHAIRPERSON MORENO: Dr. Wilson?

3 PANEL MEMBER WILSON: Yes.

4 CHAIRPERSON MORENO: Dr. McKone?

5 PANEL MEMBER MCKONE: Yes.

6 CHAIRPERSON MORENO: So the recommendation is
7 approved unanimously.

8 Thank you.

9 At this point, that was the designation of the
10 chemical. Any further discussion or guidance on
11 prioritizing?

12 And keep in mind that the prioritization of this
13 chemical was not on the agenda. My understanding is that
14 we can't actually make that recommendation today as a
15 priority chemical.

16 CHIEF COUNSEL MONAHAN-CUMMINGS: That's correct.

17 One thing I wanted to ask the Panel though is,
18 and this will come up more in the subsequent discussions,
19 but did you also intend to designate the metabolites of
20 this chemical as well as any other markers, so that the
21 Program could look for those as well?

22 CHAIRPERSON MORENO: Dr. Wilson, you made the
23 motion.

24 PANEL MEMBER WILSON: That would be included in
25 the motion.

1 CHIEF COUNSEL MONAHAN-CUMMINGS: So it's your
2 intent to include the --

3 PANEL MEMBER WILSON: The substance and its
4 metabolites necessary for detection.

5 CHAIRPERSON MORENO: Would it be more appropriate
6 to have another motion to clarify, since we already voted
7 on that.

8 CHIEF COUNSEL MONAHAN-CUMMINGS: It might not
9 hurt.

10 CHAIRPERSON MORENO: So, Dr. Wilson, would you
11 like to entertain another motion.

12 PANEL MEMBER WILSON: So to restate the motion, I
13 would move that the Panel designate Pendimethalin as a
14 designated chemical along with its metabolites.

15 CHIEF COUNSEL MONAHAN-CUMMINGS: Or other
16 markers.

17 MS. HOOVER: Or any other relevant biomarkers or
18 indicators for detecting this substance.

19 PANEL MEMBER WILSON: Or any other relevant
20 indicators for detecting this substance.

21 (Laughter.)

22 CHAIRPERSON MORENO: Did you get that?
23 Great. All right, is there a second?

24 PANEL MEMBER LUDERER: Second.

25 CHAIRPERSON MORENO: Dr. Luderer.

1 Okay, I want to make sure everyone is clear on
2 the motion?

3 Clear on the motion?

4 Any further discussion on that among Panel
5 members?

6 No, okay. We'll go ahead and take a vote.

7 Dr. Kavanaugh-Lynch?

8 PANEL MEMBER KAVANAUGH-LYNCH: Yes.

9 CHAIRPERSON MORENO: Dr. Quint?

10 PANEL MEMBER QUINT: Yes.

11 CHAIRPERSON MORENO: Dr. Bradman?

12 PANEL MEMBER BRADMAN: Yes.

13 CHAIRPERSON MORENO: Dr. Solomon?

14 PANEL MEMBER SOLOMON: Yes.

15 CHAIRPERSON MORENO: Moreno, yes.

16 Dr. Luderer?

17 PANEL MEMBER LUDERER: Yes.

18 CHAIRPERSON MORENO: Dr. Wilson?

19 PANEL MEMBER WILSON: Yes.

20 CHAIRPERSON MORENO: And Dr. McKone?

21 PANEL MEMBER MCKONE: Yes.

22 CHAIRPERSON MORENO: Wonderful. Okay, thank you.

23 If there are no further recommendations on this
24 presentation, that concludes this portion of this
25 morning's agenda. We were scheduled to break for lunch at

1 12:30, and it's 12 -- almost 12:10. So do we -- because
2 of the way that the meeting was posted publicly, do we --
3 are we obligated to return at 1:30 or can we break early
4 and come back early?

5 CHIEF COUNSEL MONAHAN-CUMMINGS: No, I think you
6 break now and come back. You can break now and come
7 back --

8 CHAIRPERSON MORENO: Come back early?

9 CHIEF COUNSEL MONAHAN-CUMMINGS: Sure.

10 CHAIRPERSON MORENO: Okay. So we're about 20
11 minutes ahead of schedule. So if we want to take the same
12 amount of time, we would come back at 1:10?

13 MS. HOOVER: Let's do 1:15.

14 CHIEF COUNSEL MONAHAN-CUMMINGS: 1:15?

15 CHAIRPERSON MORENO: Okay, 1:15. So we're going
16 to break now. We have one announcement before we break
17 and then we'll break and come back at 1:15.

18 CHIEF COUNSEL MONAHAN-CUMMINGS: Okay. I just
19 want to remind the Panel members also that you should not
20 discuss items that are on the agenda with each other,
21 while you're having lunch or anybody else. If you do, you
22 would need to come -- when you come back you need to
23 disclose that.

24 CHIEF DEPUTY DIRECTOR HIRSCH: Also, I have a
25 pre-existing commitment from 1:30 till about 3. So my

1 chair will be empty, but if you need any guidance from
2 OEHHA staff, Carol, our Chief Counsel, is right up in
3 front, and Dr. Lauren Zeise as well.

4 CHAIRPERSON MORENO: Thank you.

5 All right, let's break.

6 (Thereupon a lunch break was taken.)
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

1 We'll be talking about the status of the current project,
2 the design of the project. We'll be describing some of
3 the questionnaires and other materials that we've
4 developed and then going over the timeline.

5 --o0o--

6 DR. DAS: So just to remind you, this is a
7 collaborative project between three institutions, the
8 California Environmental Contaminant Biomonitoring Program
9 or Biomonitoring California, UC San Francisco,
10 specifically the Program for Reproductive Health and the
11 Environment with Tracy Woodruff and Jackie Schwartz, and
12 UC Berkeley's School of Public Health and the Health
13 Research for Action, which is in the School of Public
14 Health. Rachel Morello-Frosch is with the School of
15 Public Health and Holly Brown-Williams is with Health
16 Research for Action.

17 There are three sources of funding that help us
18 to achieve the objectives of this particular project. The
19 CDC cooperative agreement provides a bulk of the core
20 funding for this project. In addition, since we last met,
21 UCSF got awarded a grant from the California Wellness
22 Foundation, \$250,000 over two years, to accomplish mostly
23 the reach-back and questionnaire portions of the project.
24 And, of course, our State resources contribute as well.

25 --o0o--

1 DR. DAS: The aims of the project are to measure
2 and compare levels of approximately 100 chemicals in the
3 blood and urine from maternal infant pairs. And we would
4 like to be able to get 100 maternal infant pairs. That's
5 our goal; to identify the leading sources of exposure to a
6 subset of these chemicals; to develop and test the
7 communication and report-back methods and materials; and
8 to conduct analyses of the associations between exposure
9 and pregnancy and birth outcomes.

10 --o0o--

11 DR. DAS: We'll be going over each of these in a
12 little more detail. In addition, we are intending for
13 this project to be a method to test a lot of the methods
14 we hope to apply to a larger study that could be conducted
15 statewide. Specifically, we're hoping to test the
16 recruitment and enrollment procedures, the data collection
17 methods, this biospecimen collection, managing,
18 processing, developing some of the lab analyses, and
19 finally report back -- reporting back results to
20 participants.

21 --o0o--

22 DR. DAS: So the chemicals of interest are shown
23 here, and on the next slide. This slide shows the
24 chemicals that will be analyzed by the Environmental
25 Health Lab in the Department of Public Health.

1 The metals will be analyzed in whole blood. And
2 the remainder of the chemicals will be analyzed in urine.
3 These are the non-persistent chemicals.

4 Next slide.

5 --o0o--

6 DR. DAS: The chemicals shown on this slide will
7 be analyzed by the Environmental Chemistry Lab in the
8 Department of Toxic Substances Control. And these are the
9 persistent compounds. And these will be analyzed in
10 serum.

11 Next.

12 --o0o--

13 DR. DAS: So the specific components of the
14 project include those shown here. The items shown in
15 green are those that are funded primarily by the CDC
16 cooperative agreement. And the items in purple are
17 primarily funded by the California Wellness Foundation
18 grant. And those in black represent contributions,
19 in-kind, from project staff.

20 So recruitment, informed consent, and enrollment,
21 exposure assessment through questionnaire administration.
22 There will be two questionnaires. One will be
23 administered in person by an interviewer at the clinic,
24 and the other one will be a take-home questionnaire.

25 And then finally biospecimen collection will be

1 performed, as I described before. Maternal urine and
2 blood will be collected as well as fetal umbilical cord
3 samples.

4 --o0o--

5 DR. DAS: In addition, we hope to conduct these
6 components as well. The chemical analyses will be
7 performed by the two labs, the Environmental Health Lab
8 and the Environmental Chemistry Lab, as I described in a
9 previous slide. The data analyses and report generation
10 will be shared between the three different parties,
11 Department of Public Health, UCSF, and UC Berkeley. And
12 the report back will be primarily funded by the California
13 Wellness Foundation, and will be done by UC Berkeley. And
14 that includes a preliminary interview with the subjects, a
15 feedback session using usability tests, and a results
16 communication interview. And you'll hear a lot more
17 detail about each of these components.

18 --o0o--

19 DR. DAS: Next slide. You saw this slide last
20 time. This was presented by Dr. Tracy Woodruff. This
21 represents the population that we're targeting at San
22 Francisco General Hospital. And the race is primarily --
23 the race of our mothers is primarily Latina, sixty
24 percent, 20 percent African-American, 12 percent of the
25 mothers are expected to be Caucasian, and eight percent

1 Asian and Pacific Islander.

2 This population tends to be low income and
3 uninsured. While they are pregnant, they are eligible for
4 Medi-Cal, which allows them some extra services. They
5 tend to be low literacy, and more than half primarily
6 speak Spanish or only speak Spanish.

7 --o0o--

8 DR. DAS: This timeline is a very nice
9 representation of the four time periods that we've divided
10 up the project. And the timeline was developed by our
11 Public Health Prevention Specialist, Ngozi Erundu, in the
12 room with us.

13 And Diana was going to take you through the
14 different components and the different timeframes.

15 --o0o--

16 MS. LEE: So starting with the first encounter
17 that we expect to have with the pregnant women, at around
18 28 to 34 weeks gestation, is when we primarily will be
19 contacting them. And during this process we will be, not
20 only recruiting, but we will be administering the informed
21 consent and formally enrolling her, and then doing a
22 preliminary interview, and an at-home questionnaire will
23 be provided. So I'm going to explain these a little bit
24 more in depth.

25 --o0o--

1 MS. LEE: So the inclusion criteria shown on this
2 slide indicate that we want to enroll women who are
3 obviously receiving prenatal care at the Women's Health
4 Center at San Francisco General, who plan to deliver at
5 that hospital, who have low medical or obstetric risk, and
6 are either Spanish or English speaking and equal to or
7 over 18 years of age.

8 The UCSF staff will hire a Spanish speaking
9 research assistant. And we've been informed that the
10 optimal time to approach women is actually when they're in
11 the waiting room. So this research assistant will
12 actually be reviewing medical charts, the appointment
13 logs, et cetera, and then have primary responsibility for
14 approaching the women to explain the project and
15 administer an informed consent.

16 The participant enrollment packet will be
17 provided to each participant and will include a copy of
18 the informed consent, the patient bill of rights, as well
19 as an abbreviated information sheet that will describe the
20 various components of the project.

21 --o0o--

22 MS. LEE: Starting with the informed consent, we
23 want to make sure that the informed consent is well
24 understood. So this is to be personally described to the
25 participant. And on these next few slides, we've actually

1 included examples of the language contained in the
2 informed consent, starting with -- so that we really are
3 able to set forth the expectations for both participant
4 and the staff administering the project.

5 So we anticipate that we will be explaining the
6 purpose of the project in these terms listed on the slide,
7 that we will learn if certain kinds of chemicals in our
8 environment are present in the bodies of pregnant women
9 and their newborn babies. And we hope to understand where
10 these chemicals come from and how we might be able to
11 reduce exposure to them. We'll be looking at the ways
12 pregnant women come into contact with these chemicals.
13 And we ultimately want to find the best way to tell women
14 about the types and amounts of chemicals that we will find
15 in their bodies.

16 --o0o--

17 MS. LEE: We clearly anticipate telling the
18 participant what we expect to be able to share with them.
19 That we expect to find at least some chemicals and in
20 everybody's blood and urine. We will be able to learn
21 and -- that the participant will be able to learn and
22 receive information about the types and amounts of
23 chemicals found in their body and also that they will be
24 able to get some information about how to reduce their
25 exposures.

1 --o0o--

2 MS. LEE: We also want to be clear about what we
3 will not be able to tell the participant, that we will not
4 be able to tell you if the amounts of chemicals in your
5 body are harmful for your health, and we will also not be
6 able to tell you whether any health problems you have may
7 be caused by the chemicals we find in your body. Again,
8 setting hopefully very clear expectations on both the part
9 of the participant as well as the staff.

10 --o0o--

11 MS. LEE: All informed consents contain
12 assurances, and ours certainly does as well. And we want
13 to assure the participant that participating or not does
14 not change any procedures or care during pregnancy or
15 delivery. Taking part in this project is entirely your
16 choice, and they can refuse to answer any questions or
17 change their mind and stop participating at any time.

18 Also, we want to assure them that we will keep
19 their information confidential and any identifying
20 information will not be used in any meetings, reports, or
21 articles.

22 --o0o--

23 MS. LEE: There's information about the stipends.
24 We anticipate that we'll be informing the participants
25 taking part in this study where this project will take

1 roughly three to four hours of their time. We will
2 provide a \$25 stipend for the initial urine collection,
3 and upon completion of the in-person interview, as well as
4 \$20 upon receipt of the completed at-home survey, and then
5 \$20 fore the blood samples.

6 --o0o--

7 MS. LEE: At the end, we will ask the participant
8 to indicate yes or no to these three statements. They
9 have to indicate they want to participate in the Chemicals
10 in Our Bodies Project, they want to know their own
11 personal biomonitoring results, and that these may not be
12 available for up to two years.

13 And they may indicate whether they want to donate
14 their left-over blood and urine and personal information
15 for use in future studies.

16 --o0o--

17 MS. LEE: A subsequent part is if the participant
18 indicates she would like to know her personal
19 biomonitoring results, she's also asked to indicate
20 whether she would like to be contacted later to
21 participate in a feedback session interview, as well as a
22 results communication interview, both components of the
23 report-back component.

24 --o0o--

25 MS. LEE: So I want to stop here for a second,

1 and just ask if there are any questions in particular
2 about the informed consent?

3 CHAIRPERSON MORENO: Okay, thank you, Diana.
4 Dr. Solomon.

5 PANEL MEMBER SOLOMON: Yeah, I just had a
6 question about -- uh-oh, I don't have my glasses on. I
7 think it's slide 16, which says what the participant can't
8 learn. And I'm assuming that you're using the language
9 here that you're sort of planning to use with the
10 participants. And so I just worry a little bit about the
11 language we will not be able to tell you, because that
12 could be seen in two different ways. One is, there's no
13 way of knowing and the other is well we'll know, but we
14 won't be somehow allowed to tell you.

15 And when I translated it mentally into Spanish, I
16 think the same problem would maybe even be more of an
17 issue in Spanish, where it could sound like, you know,
18 someone -- you know, we are forbidden from telling you or
19 we are not allowed to tell you.

20 And so I just wanted to raise that question and
21 make sure you've thought about it and think about whether
22 there's better wording. In other words, you know, we will
23 not know or no one will know. You know, it is unknown
24 whether or something that doesn't sound as loaded.

25 MS. LEE: That's a very good point, and we'll

1 take that under consideration. All these documents have
2 been initially submitted to UCSF's Institutional Review
3 Board. And we will be submitting to our departments
4 shortly. But we can always adjust -- I mean, continue
5 revising them as well. And we do plan for some revisions
6 to them, so I think we'll take into consideration, Dr.
7 Solomon.

8 CHAIRPERSON MORENO: Dr. Quint.

9 PANEL MEMBER QUINT: In describing the cohort,
10 you said that they were low income and on Medi-Cal. Are
11 any of them working?

12 MS. LEE: Will be finding out. That is part of
13 the questionnaire, that we will be assessing occupational
14 status.

15 PANEL MEMBER QUINT: Because it changes a little
16 bit the question about exposures, and rights and what they
17 have a right to know and what their employee must tell
18 them and all that sort of thing, you know.

19 So anyway --

20 MS. LEE: At the time that we recruit them, and
21 you'll see this as we go forward in the presentation,
22 we're actually recruiting them near the -- well, between
23 28 and 34 weeks. So it's starting their -- the end of
24 their second trimester, the beginning part of their third
25 trimester -- no, no, sorry. The end of -- it's in their

1 third trimester we're starting to recruit them. So we
2 will be asking about occupational history during their
3 pregnancy.

4 PANEL MEMBER QUINT: Right. Okay. Any other
5 questions?

6 PANEL MEMBER BRADMAN: I have just a quick
7 comment and question about recruitment. Does the Women's
8 Health Center have a CPSP program, and would it be
9 possible to have them hand out brochure or materials to
10 potential participants, so if they're approached in the
11 waiting room, it's not a cold call so to speak, or they
12 could seek out -- that's something that we've done in
13 Salinas and it really facilitates communication.

14 MS. LEE: Yeah, my understand -- yes, that San
15 Francisco General is a comprehensive perinatal services
16 provider and those are some of the health workers will
17 be -- will have ultimate access to.

18 We've been told by both Tracy and Jackie that
19 usually handing out written material isn't necessarily
20 useful for recruitment and that the most effective way is
21 really to approach the women while they're in the waiting
22 room.

23 We hope to have some information material in
24 writing that we can provide. We've also broached the idea
25 of creating a poster, for instance, that can be displayed

1 in the waiting room. And we've been discouraged from
2 doing that, but I will take back this feedback from you.

3 PANEL MEMBER BRADMAN: I think you're right, the
4 poster won't be that helpful. But it's not so much
5 handing somebody written materials, but it's also the
6 verbal contact, and a brief description. And if you want
7 more information, there will be somebody to talk to you.

8 I agree the paper itself isn't that helpful
9 without the personal contact.

10 MS. LEE: Right. And while this newly to be
11 hired research assistant will be very busy, during the
12 recruitment phase, and then ultimately throughout the rest
13 of the project as well. But that will be a prime focus of
14 that position is to actively be in the waiting rooms to
15 recruit women.

16 CHAIRPERSON MORENO: Dr. Wilson.

17 PANEL MEMBER WILSON: Thank you for that and for
18 also providing us with the -- I think this was the IRB
19 approval document.

20 MS. LEE: I think the sheet that we provided you
21 is something called information for participants.

22 PANEL MEMBER WILSON: Right.

23 MS. LEE: That's something that's been prepared
24 by the UCSF staff, that they intend to provide to the
25 participant upon enrollment, so that it's kind of a

1 stepwise description of the steps that she'll be taking --
2 parts of the project that she'll be taking apart in.

3 PANEL MEMBER WILSON: It's great. It's very
4 thorough. And I ended up in going through it translating
5 it into a schematic, sort of similar to what was presented
6 for us on the study outline.

7 But sort of a five- or six-step timeline that
8 shows what the participant would be doing at that point.
9 And then at the end there's this decision place to receive
10 results or not, just as a suggestion for making it easy to
11 understand and to communicate.

12 MS. LEE: We would love to see it.

13 PANEL MEMBER WILSON: Oh, yeah. And then the
14 other is just in the slide number 14, which was learn if
15 certain kinds of chemicals in our environment are present
16 in the bodies of pregnant women and their newborn babies.
17 I'm just wondering if it would be helpful to say,
18 "...learn if certain kinds of chemicals in our homes, work
19 places or environment...", to make it -- or if that would
20 make it more concrete.

21 MS. LEE: That's an excellent suggestion. And we
22 will, again, propose that for a potential modification.

23 PANEL MEMBER WILSON: Okay. Thank you, Diana.

24 CHAIRPERSON MORENO: Diana, Ed Moreno. I just
25 have one request. Could you explain to me a little bit

1 more about what the intent is in asking if the subject
2 would allow her sample to be stored and used for future
3 research.

4 MS. LEE: I think it's to allow us to store the
5 biospecimens, so that, say during this two-year timeframe,
6 we may not have analytical methods, like cyclosiloxanes,
7 for instance, developed by the end of this project, but
8 they will become available in future years, that we can
9 then use those samples as a source for analysis for future
10 studies.

11 CHAIRPERSON MORENO: Okay. Thanks.

12 Other questions by Panel members?

13 Okay. At this point --

14 MS. LEE: I'm not done yet, though.

15 CHAIRPERSON MORENO: Oh, I'm sorry. I apologize.

16 (Laughter.)

17 MS. LEE: I'm just proposing to stop periodically
18 during the presentation, so that I can provide
19 opportunities for the Panel to weigh in on other issues.

20 But I do want to go through in the next series of
21 slides more specifics about actual study administration.

22 --o0o--

23 MS. LEE: So at the first encounter, we will be,
24 after the initial consent is signed, we will be
25 administering what is called the preliminary interview.

1 And this is to assess baseline knowledge and expectations
2 of the participant regarding participation in the
3 Biomonitoring component. This is actually a component of
4 the report-back phase of the project.

5 And Dr. Rachel Morello-Frosch has actually
6 drafted these questions and is proposing that this portion
7 of this interview be audio recorded, so that they can
8 listen to this and take them into consideration when
9 they're doing their data analysis.

10 And I think when Dr. Morello-Frosch was here
11 presenting before you in last July, she gave you examples
12 of some of those questions.

13 So here's examples of those questions.

14 --o0o--

15 MS. LEE: Why did you decide to participate in
16 this project? What do you hope to learn? What might
17 information about your exposure to chemicals mean for you
18 or your family? And where did you get information about
19 environmental health issues or chemical exposures?

20 So again, this is prior to her actually being
21 biomonitored per se. This is at the first time right
22 after she initially consents. And this information will
23 help us design the report-back component, as well as
24 education materials that will be used in this project.

25 --o0o--

1 MS. LEE: Before I go further into discussing the
2 two questionnaires that we use, I want to just digress a
3 little bit, and describe the process that we utilize to
4 develop the two questionnaires that we have.

5 And as you're aware, the measured levels of these
6 chemicals that you see here, for instance, don't
7 necessarily provide information about the sources of these
8 chemicals. And as Dr. Rachel Morello-Frosch indicated
9 chemicals don't come necessarily with a return address, as
10 some of her participants have indicated. So we usually
11 have to get at potential sources differently.

12 And one way of doing that is through
13 questionnaires, and possibly even through environmental
14 sampling. We're not going to be able to do environmental
15 sampling in this study, so we did want to focus more
16 heavily on the questionnaires.

17 And because of the constraints of time and so on,
18 we also know that we're not going to be able to ask
19 questions that necessarily focus on every single chemical
20 that we'll be analyzing.

21 The two classes of chemicals, in particular, that
22 we chose to emphasize in the questionnaire include
23 pesticides and perfluorinated chemicals. But we also will
24 be including questions that get at metals, flame
25 retardants, phthalates, environmental phenols.

1 --o0o--

2 MS. LEE: We again, thinking of participant
3 burden and the literacy levels and language issues of the
4 patient population, we wanted to have one of the
5 questionnaires be administered in person, and one that
6 would, again because of the kinds of questions that we
7 were trying to ask, we felt would be better addressed if
8 the participant had this -- could fill out the
9 questionnaire at home.

10 And you'll see why in a minute, but we wanted to
11 get at certain behaviors, products that she uses, et
12 cetera.

13 We certainly wanted to have the questionnaire
14 take no more than an hour, each of them, for filling out
15 or for personal administration. And to help with the
16 language issues, we also want the documents translated
17 into Spanish.

18 Because with the analytes themselves, we also
19 wanted to address things like timing of exposure to
20 biospecimen collection. For instance, some of the
21 chemicals like the pesticides, non-persistent pesticides,
22 we know that we -- that the point of being able to
23 actually capture them, their half-lives for instance, we
24 want to get them information closer to the time of
25 collection.

1 MS. LEE: So I'm going to stop here and ask if
2 there are any questions now regarding the questionnaire
3 development process or any considerations of the
4 questionnaire.

5 And before I go into the specific examples of
6 both the in-person questionnaire and the at-home
7 questionnaire.

8 PANEL MEMBER SOLOMON: I guess -- this is Gina
9 Solomon. I have a question about the decision to focus on
10 the pesticides as one of the groups of chemicals that's a
11 high priority, because this -- in the study you're looking
12 primarily at organophosphates, at least that's my
13 recollection, which are not used much in urban settings
14 anymore. And yet this is an urban population.

15 If this were an agricultural population, I'd
16 think very differently. And so I would tend to expect
17 that that there -- you know, lots of questions about what
18 they use for household pests will not end up being as
19 relevant.

20 And so then I was curious whether that means that
21 you're going to be focusing on dietary history as a
22 potential source of exposure to organophosphates, is
23 that --

24 MS. LEE: We do have a dietary component in the
25 personally-administered questionnaire. The decision to

1 information on potential exposure sources posed in the
2 home environment and focusing somewhat on cleaning and
3 personal care products that she uses -- the participant
4 uses during pregnancy.

5 The participant is instructed to either mail it
6 back to us, and we do provide postage, or return it at her
7 next visit.

8 So the next slide will give you an example of the
9 instructions we provide specifically for personal care
10 products.

11 --o0o--

12 MS. LEE: Because we want this to be fairly
13 straightforward, we ask that she just gather up all these
14 products and then fill out a chart. And the example of
15 the chart is given in the next slide.

16 --o0o--

17 MS. LEE: So we've given an example here. And
18 the survey administrator will -- I mean, the research
19 assistant staff will actually go through this as an
20 example, for instance. So here she would be instructed to
21 fill out the brand, any pertinent name of product, and
22 other information and kind of circle here you see where it
23 can be found on a particular container. If she doesn't
24 use a particular product, she's just to indicate it in the
25 box.

1 questionnaire, but in the in-person one. And I'll get to
2 that in a second, there are questions that allude to that.

3 PANEL MEMBER QUINT: Okay. But it would -- the
4 exposure would be at home, because it's take-home
5 exposure, but you're seeing that as not a part of the
6 at-home questionnaire.

7 MS. LEE: Right. Partly because the at-home
8 questionnaire is focusing on, as Dina Dobraca, one of our
9 epidemiologists, who helped to develop the at-home
10 questionnaire is focusing a lot on personal care products
11 that pose potential dermal exposures, as an example. And
12 then other things that are best assessed in terms of
13 counting electronics and things like that, so that she's
14 actually at home able to count them and do a tally, those
15 kinds of things.

16 But the importance was, you know, we're not
17 guaranteed. And again, this is again our piloting method
18 that will actually get the at-home questionnaires back.
19 So anything deemed of high importance, we wanted to ask in
20 person.

21 PANEL MEMBER QUINT: The other question I had is
22 about cleaning products at home. Is that a part of a
23 different --

24 MS. LEE: Yes.

25 PANEL MEMBER QUINT: That's not the at-home

1 questionnaire.

2 MS. LEE: No, that's part of the at-home
3 questionnaire too.

4 PANEL MEMBER QUINT: Okay. I missed it, I guess.

5 MS. LEE: I didn't include questions -- examples
6 of all the questions examples, for brevity's sake, but
7 they are included.

8 PANEL MEMBER QUINT: Okay, thanks.

9 CHAIRPERSON MORENO: Dr. Wilson.

10 PANEL MEMBER WILSON: Well, you may have answered
11 it. I guess, you know, my question is if some piece of
12 this is going to try to capture occupation at that time or
13 prior to pregnancy. Would that be the in-person one?

14 MS. LEE: That's an in-person question.

15 PANEL MEMBER WILSON: Okay. So we'll wait.

16 MS. LEE: Because it was deemed high enough
17 importance that it was -- we wanted to guarantee, you
18 know, a high rate response rate to those questions that we
19 wanted that asked in person, rather than rely on the
20 at-home.

21 PANEL MEMBER WILSON: Right. Thank you.

22 --o0o--

23 MS. LEE: Okay. So let's go on then to the
24 second encounter, where -- and in between -- I just want
25 to comment that we have plans to contact the woman by

1 phone, in between her first encounter, which is 28 to 34
2 weeks, and her next encounter, which would be between 34 and
3 38 weeks. Again, to remind them of their importance, for
4 instance, for the -- and to remind them also to bring back
5 the at-home questionnaire. So that's just kind of a phone
6 contact we'll make in between.

7 But at the actual second encounter, we will be
8 collecting the maternal urine sample. We'll be
9 administering an in-person questionnaire. We collect the
10 at-home questionnaire hopefully. And then we'll be going
11 over kind of an educational handout and providing the
12 stipends for the two questionnaires plus the urine sample.

13 --o0o--

14 MS. LEE: So I'm going to just talk a little bit
15 now about the actual in-person questionnaire, what we call
16 the exposure assessment interview in the Institutional
17 Review Board documents.

18 And in the in-person interview, we do focus on
19 occupational history, hobbies and home activities, diet,
20 behaviors, use of certain products, as well as asking
21 questions that get at demographic information.

22 --o0o--

23 MS. LEE: So this is the first question. And I'm
24 not going to go through all the questions, but it's
25 intended to be with a research assistant with a laptop.

1 And this is on the screen of the laptop. And she's
2 verbally asking the participant these questions. So the
3 participant doesn't see these questions. She's being
4 asked to respond to them verbally. And the research
5 assistant will be inputting it into the laptop.

6 So the first question, since you became pregnant,
7 have you or anyone in -- sorry, excuse me. Let me ask it
8 properly. Have you -- since you became pregnant, have you
9 upholstered furniture at any of your jobs?

10 So the preface to this is there are other
11 questions about are you currently working now, et cetera,
12 et cetera.

13 But we decided for times really to make it fit
14 within this hour timeframe, to capture the pregnancy part
15 of exposure, not prior to pregnancy.

16 Any questions?

17 PANEL MEMBER QUINT: Julia Quint again. I'm just
18 wondering, I know often people in these questionnaires
19 will say, since your last menstrual period, instead of
20 since you became pregnant. And, you know, I understood
21 the distinction being as a lot of women don't know when
22 they become pregnant. Sometimes you know. It's missed
23 periods and that sort of thing.

24 So was there a decision that that was too
25 complicated or -- yeah.

1 MS. LEE: Yes.

2 PANEL MEMBER QUINT: That's what I thought.

3 MS. LEE: Yeah, we wanted to use language
4 hopefully that would just be clear. And actually looking
5 at like the National Children's Study, the CHAMACOS and so
6 on, I think that terminology, since you became pregnant
7 or, you know, during your pregnancy, it seemed to be more
8 user friendly.

9 PANEL MEMBER QUINT: Okay.

10 MS. LEE: And so if the participant responds yes,
11 she's asked how many hours each week or each month. She
12 does each of these activities on a job, recognizing that
13 she may have more than one job also.

14 CHAIRPERSON MORENO: Diana, can you hold on one
15 second.

16 Dr. McKone.

17 PANEL MEMBER MCKONE: We're so far away, you
18 can't see us.

19 (Laughter.)

20 PANEL MEMBER MCKONE: I guess the question I
21 have -- maybe we should save this till later, but has
22 someone tested this with regard to how honest people are
23 really going to be in responding to this? I mean, my fear
24 is that these are pretty leading in the sense that
25 somebody is going to -- they're going to say, oh, I

1 shouldn't have done that. I don't want to admit that I
2 was removing paint. You know, the fear that the
3 interviewer is judgmental, even though you try not to be,
4 but it's sort of like they all sound like things you
5 shouldn't be doing, when you're pregnant is the way it --
6 and so it's going to lead to a little bit of this sense
7 that well, I'm not going to admit that I did this.

8 So how do you test against that?

9 MS. LEE: Well, that's where the issue of
10 questionnaire validation comes in. And there are very few
11 validated questionnaires.

12 So, yeah, we're relying on truth, to some extent.
13 But again, if somebody has done this habitually, whether
14 they've, you know, welded or soldered, you would expect
15 maybe to see lead. So, again, this is tying two potential
16 exposures that we might be able to back up through the
17 biomonitoring efforts themselves.

18 PANEL MEMBER MCKONE: I just -- to give you some
19 background, one of our students was working on the issue
20 of smoking in cars with children. And the questionnaires
21 really failed to match at all the level of cotinine they
22 were finding to correspond to it. And again, it's the
23 issue of most people -- a lot of people in this situation
24 have some fear of being judged by the questionnaire, so
25 they will say no, I never smoke in my automobile.

1 CHAIRPERSON MORENO: Dr. Solomon.

2 PANEL MEMBER SOLOMON: This puts all of these
3 questions in the context of a job, but some people may be
4 doing these either as a hobby or in their own homes or
5 having them done by other people in their own homes. So
6 is that a whole other section of the questionnaire?

7 MS. LEE: That's the next question, the next
8 slide.

9 PANEL MEMBER SOLOMON: If so, is just sort of
10 makes me wonder is this the most efficient way of cramming
11 every into an hour, because it's a lot of questions. And
12 I'm sure you thought about alternative ways of doing this,
13 where you do sort of more of an open-ended job history,
14 and then classify potential exposures by job title and so
15 forth. And there's all kinds of problems with that.

16 But this is going to tend to get a lot of noes
17 for these. And then it makes me worry about the things
18 that then get left off that might be relevant, but we
19 don't think of them.

20 MS. LEE: That's a good point. We don't have a
21 lot of open-ended questions, possibly because of the data
22 entry issue and having to recategorize and so on. I think
23 we do have some open-ended questions. We tried to capture
24 again the kinds of questions -- and this question in
25 particular, the occupational question, that would relate

1 to the chemicals that we're trying to focus specifically
2 upon.

3 So going back to the list that was on one of the
4 earlier slides, we don't capture all the occupations that
5 might lead to some chemical exposure for instance.

6 PANEL MEMBER QUINT: Julia Quint.

7 Also, I'm not sure if it's embedded in this
8 project, but the Occupational Health Branch, HESIS in
9 particular, had a project with Tracey in the Program on
10 Reproductive Health and the Environment through UC
11 Berkeley. It's kind of a convoluted process.

12 But part of the goal of that project was to ask a
13 limited number of occupational health and environmental
14 health questions that could be perhaps inserted into an
15 intake questionnaire by a clinician. So our goal was to
16 see if we could get clinicians to start to begin to ask
17 these questions.

18 So, you know, the sort of model that Gina is
19 talking about certainly is a valid one. But, you know, if
20 we're trying to do both here, that would be one reason to
21 go this way.

22 And, you know, I was very struck by what Tom
23 said. And this would make it longer, but I was wondering
24 if you could put some -- you know, mix the questions up a
25 little bit so it didn't sound to make them have some

1 questions that didn't -- weren't so negative that a person
2 being interviewed couldn't necessarily target as being a
3 negative sort of action on their part, with respect to an
4 outcome of a pregnancy.

5 It would make the questionnaire longer, but it
6 would be, not a foil question, but something that wouldn't
7 necessarily get at an adverse exposure, and maybe, you
8 know, so it all didn't seem like it was directed towards
9 an exposure to a toxic chemical.

10 It would make the questionnaire longer, but it
11 perhaps would mitigate some of what I understood Tom to be
12 referring to, you know, like the tendency for somebody to
13 say, Oh my God, I'm not going to admit that I painted
14 while I was pregnant, because it probably would harm my
15 baby?

16 So that's something to think about. I don't know
17 if you could just stick a few in there that would not be
18 so directed toward the answers we were trying to get, in
19 terms of exposures to toxics.

20 MS. LEE: Do you have a particular example of a
21 question.

22 PANEL MEMBER QUINT: No, I'd have to think about
23 it. But it would be -- you would have to think about it a
24 little bit, and not make it a two-hour interview instead
25 of a, you know, a one-hour interview. But I'm just very

1 struck by what he said, because I think there is a
2 tendency, if I were answering some of these questions and
3 was pregnant, I'd be maybe not so honest. Even though I
4 would want to be honest, I might not be honest.

5 MS. LEE: Oh, before I forget. We did actually
6 start with the questions that you mentioned from the
7 Occupational Health Branch, and widdled down from that,
8 but they are kind of woven in as well.

9 DR. DAS: I just wanted to address some of the
10 issues that were raised. We're not presenting you with
11 the entire questionnaire, so you don't have the benefit of
12 seeing what is actually here. So we do state in the
13 beginning, "For the purpose of this questionnaire, please
14 think of your pregnancy as beginning at the time of your
15 last menstrual period."

16 And we do have some open-ended questions, such as
17 what was your occupation, or the name of your job, what do
18 you do at your current job, and a couple other questions
19 that are open-ended. So it's not all multiple choice.
20 These are meant to, as Diana said, to really target the
21 chemicals of interest. And we felt that these were the
22 best that would represent those exposures, but there are
23 others that we can classify and sort of get to potential
24 other exposures, even though we don't get to the specific
25 jobs that are in this level of detail.

1 CHAIRPERSON MORENO: Any other questions?

2 PANEL MEMBER LUDERER: That was actually my
3 question, whether there are questions included in the
4 questionnaire that ask them what jobs and job titles they
5 had during their pregnancy? I think that's useful
6 information that you wouldn't want to miss and only have
7 these kinds of very detailed specific questions.

8 MS. LEE: Right.

9 CHAIRPERSON MORENO: Dr. Bradman.

10 PANEL MEMBER BRADMAN: I was just going to
11 comment. I know how -- actually, Rupa answered my
12 questions as well, but I know how challenging these kinds
13 of things are. And, you know, I'd be willing to make an
14 offer to review the questionnaire. And I don't know if
15 anyone else on the Panel would want to take the time to go
16 through it, and we'll each have our own perspective and
17 experience. But, you know, if you want more outside eyes,
18 I'd be happy to do that.

19 MS. LEE: Thank you.

20 CHAIRPERSON MORENO: This is Ed Moreno. Can you
21 remind us where in the IRB application process this survey
22 is?

23 MS. LEE: All the documents so far have been
24 submitted -- plus the study protocol have been submitted
25 to UCSF's IRB -- Institution Review Board, which is also

1 going to be a joint one with UC Berkeley. And the ones
2 being submitted to the California Department of Public
3 Health's IRB is in the process of being put together now,
4 so that we -- and based largely on what's already been
5 submitted through UCSF's.

6 DR. DAS: This was a comment triggered by Asa,
7 your very kind suggestion to review the questionnaire. If
8 we give the members of the Panel -- or the entire Panel
9 something to review or to comment on, we do have to
10 release it to the public. And that is a consideration for
11 us, that we would -- it's just something to consider, that
12 whatever we give to the Panel, we have to release to the
13 public, if it's in draft form or not.

14 CHAIRPERSON MORENO: Ed Moreno again. And Diana,
15 you've had a -- I mean, it sounds like there's tremendous
16 interest by Panel members to assist. How might, in
17 reviewing the questions, might we be most helpful to you,
18 considering where you're at with the IRB process.

19 DR. DAS: I believe because of the complicated
20 IRB process, it would be very difficult to accommodate
21 additional comments, because any changes we make to any
22 document that's submitted to the IRB has to go back to the
23 IRB. And UCSF's IRB process particularly is very onerous,
24 in terms of marking up each change. So any change that's
25 made has to go back to the IRB and delays the whole

1 either the woman or someone in -- someone else in the
2 home, chemicals for controlling flees, cockroaches, ants,
3 termites, flies, et cetera, and in the home or control
4 flees on pets or to kill weeds, insects, or other pests in
5 their home or outside in their yards.

6 And again, if they answer yes in the last 30
7 days, they're asked how many times, and also whether they
8 used it in the last 24 hours.

9 --o0o--

10 MS. LEE: We also have questions about types of
11 pots and pans, specifically again getting at the
12 perfluorinated chemicals. And we provide illustrations
13 about common pots and pans that can be used in the home,
14 with the caveat that non-stick coatings are smooth,
15 usually black or gray. And then we provide pictures of
16 non-stick or Teflon cookware, including appliances.

17 --o0o--

18 MS. LEE: And with respect to appliances, these
19 questions were put together from the UCSF staff. Do you
20 use a particular appliance like a rice cooker, electric
21 grille or a fry pan. If yes, how old is it? Does it have
22 scratches? How often do you use it? And do you wash it
23 by hand or by dishwasher?

24 --o0o--

25 MS. LEE: With respect to the diet history, we're

1 not asking for detailed recall, but we do ask about --
2 since they became pregnant, how often do they eat these
3 particular food categories, and they can answer either by
4 day, week, or by month. And we ask with the exception of
5 fish or shell fish, we ask -- and canned foods and drinks,
6 we ask about organic, as well as nonorganic, meat,
7 poultry, milk and dairy products, rice, pasta noodles,
8 fruits and vegetables.

9 --o0o--

10 MS. LEE: So that was just kind of a smattering
11 of some of the questions, and you've addressed other
12 concerns about the questionnaire. But at the end, we feel
13 we know for a fact that the woman is likely to have lots
14 of questions, so we want to take the opportunity to answer
15 her questions and provide an educational handout.

16 And in advance, I think we provided you with a
17 draft that our field investigations coordinator, Rebecca
18 Chung, has been working on. And the draft version is
19 still going through some revision, but we are also working
20 with our graphic artist to provide some illustrations.
21 And this slide gives you an example of how we might
22 incorporate graphics for this particular handout. And
23 again, it would be also translated into Spanish.

24 --o0o--

25 MS. LEE: So I'll stop here and ask for any

1 additional questions about our in-person interview or the
2 educational handout.

3 CHAIRPERSON MORENO: Dr. Wilson.

4 PANEL MEMBER WILSON: Thank you, Ed.

5 So on the in-person interview, I'm coming back
6 again to the question of occupational exposures. And for
7 the woman who has spent the last, you know, five or ten
8 years working in the building services industry of some
9 kind using cleaning products every day, is that going to
10 get captured here? Is it up -- in other words, if it
11 happened -- if that occurred, and she left her job when
12 she became pregnant?

13 MS. LEE: It is captured. I didn't prepare a
14 slide about that, but we ask questions about before you
15 became pregnant did they do certain activities, like
16 upholster furniture, clean floors, windows, use, make or
17 handle insecticides or weed killers, apply varnish, mix
18 thinner, apply paints or lacquers, remove or strip paint,
19 work with glues or adhesives, degrease tools, machines, or
20 electronics, do welding or install carpet.

21 And then so -- but we're not asking about before
22 pregnancy. We're asking only during their pregnancy.

23 PANEL MEMBER WILSON: Right. I'm just curious
24 about that, if that's -- or maybe it's too late to do
25 that, to try to capture that time period or maybe it

1 doesn't -- maybe it's not useful information.

2 DR. DAS: Is the question why we're not asking
3 about these activities before pregnancy?

4 PANEL MEMBER WILSON: Yes.

5 DR. DAS: A couple of different reasons. This
6 was a collaborative process, and it was, sort of, the
7 consensus of the group and partly the length of the
8 questionnaire. And the other is for the persistent
9 chemicals, yes, it would be helpful to know what they did,
10 but those chemicals are going to be found and probably
11 reflect long-term exposure. For the non-persistent
12 chemicals, we feel that the timeframe that we're capturing
13 is going to reflect the timeframe that the measurements
14 will reflect.

15 PANEL MEMBER WILSON: Okay.

16 CHAIRPERSON MORENO: Dr. Quint.

17 PANEL MEMBER QUINT: Julia Quint.

18 But you are asking, I understood in that overview
19 question, about job titles or occupation or something like
20 that, but -- and perhaps there's some length of time the
21 person that's been in the occupation? So you'll have some
22 information on that.

23 MS. LEE: We do ask about time period, yeah.

24 PANEL MEMBER QUINT: I'm sorry?

25 MS. LEE: We do ask about time period.

1 PANEL MEMBER QUINT: That's what I thought.

2 MS. LEE: And then other substances we ask about
3 include janitorial cleaners, dry-cleaning chemicals, nail
4 polish, hair dyes. So other substances as well.

5 CHAIRPERSON MORENO: Dr. Wilson.

6 PANEL MEMBER WILSON: Yeah, thank you.

7 I appreciate getting the draft of the handout
8 for, you know, what the women can do in a proactive kind
9 of way. And, you know, it's -- you know, typically we
10 don't do this kind of thing. You know, I mean we're
11 more -- you know, we're sort of focusing on the things
12 they should avoid, and the hazards and so forth. And so
13 it's -- I think this is great.

14 And the only -- and I know this is a draft, but I
15 did have a couple thoughts on it. One was if there was,
16 if you are remodeling or consider remodeling a room or
17 your home in preparation for a new member of the family
18 basically that -- you know, Alameda County Lead Poisoning
19 Prevention Group, for example, and probably the State one
20 has some really good practical recommendations for dealing
21 with, you know, the homeowner operated home restoration
22 project or repainting -- refinishing and repainting. You
23 know, that could be very easily put in here.

24 I guess that would be my main suggestion.

25 MS. LEE: So Rebecca will look into that.

1 CHAIRPERSON MORENO: Dr. Luderer and then Dr.
2 Solomon.

3 PANEL MEMBER LUDERER: I also really appreciated
4 being able to review that handout. And I think it's
5 really nice to have these concrete steps that women can
6 take to reduce exposures.

7 I did have just one kind of very specific comment
8 about one of them, which was under the in-your-kitchen
9 part of it, where you say, you know, "Do not use dishes or
10 pots made outside the U.S. for food or drinks unless they
11 have been tested and do not have lead in them."

12 And I'm wondering whether what you're really
13 trying to get at there is things like ceramics that might
14 contain lead in the glaze. And then you should be more
15 specific, because I think first of all it would be very
16 hard probably to find very many dishes or pots that are
17 not made outside of the U.S. And, you know, most dishes
18 and pots don't have any kind of material that comes with
19 them saying that they've been tested, you know, and don't
20 contain hazardous chemicals.

21 So I think that's just a little too general and
22 probably maybe not very helpful. You might want to focus
23 on hand-made ceramics or use some verbiage like that.

24 MS. LEE: Be careful, I'm a potter.

25 (Laughter.)

1 MS. LEE: So I think we have struggled with that,
2 and I think we'll take it back. And do you want to say
3 anything about that Rebecca.

4 MS. CHUNG: This is Rebecca Chung. I'm with the
5 California Biomonitoring. All right, so that
6 recommendation is consistent with California Department of
7 Public Health, the Lead Branch -- the Childhood Lead
8 Poisoning Branch, but we can certainly make it less broad
9 to reflect that.

10 MS. LEE: Where possible, we did look at
11 materials produced by State agencies and so on, and tried
12 to use similar language so that we were consistent, if
13 possible.

14 PANEL MEMBER SOLOMON: This is Gina Solomon.

15 Maybe it was just the impression I got, based on
16 the way that the questionnaire was presented, but it
17 appears -- it appeared as if the dietary history was sort
18 of fairly broad, not super quantitative, and not a lot of
19 detail there. And maybe I'm wrong.

20 But if I were to put my money on where like the
21 vast majority of people's exposures are going to be coming
22 from, I would put it on dietary factors for most of these
23 chemicals. And, you know, studies on phthalates even,
24 where, you know, maybe you'd expect less of that, it seems
25 like it's mostly dietary as well.

1 And a lot of these really detailed questions
2 about specific job practices, you know, do you upholster
3 furniture as part of your job or do you mix and
4 manufacture pesticides.

5 At least in a sample size of 100 San Franciscans
6 we're going to have zero saying yes to those questions.
7 And so I know it's kind of late to provide input
8 and -- but, you know, my advice would be to focus much
9 more on dietary and have more of a broad occupational
10 history, but not like use time with lots and lots of
11 specific questions where everyone is going to say no.

12 DR. DAS: Believe it or not, the occupational
13 history is pared down compared to what we had originally
14 in mind, and the dietary portion has been expanded
15 probably for the same kind of thinking that you're
16 pointing out. So the questions -- I can't remember what
17 was presented, but we do ask about meat, poultry, fish,
18 times per day, per week, per month, per year, eggs, milk,
19 food in cans, drink in cans, and then organic fruits and
20 vegetables.

21 So, you know, it's not a very extensive dietary
22 history, but those are the questions that we ask.

23 PANEL MEMBER SOLOMON: And so for each of those
24 things, you're getting a frequency, and then -- but you're
25 not getting like details about what exactly what foods.

1 Like for canned foods, for example, the BPA resin linings
2 are used on canned vegetables, but not in canned fruits.
3 So if they're having canned fruit, they're probably not
4 getting exposed to BPA. If they're eating canned beans or
5 tomato sauce, they probably are. So it might be a little
6 tricky without more detail to get things like that.

7 MS. LEE: Yeah. We ask a general question about
8 food in cans in just that general category, so that it
9 would capture, you know, meats, poultry, anything in cans
10 basically.

11 PANEL MEMBER SOLOMON: Right. And then like some
12 of the studies on phthalates have found some of the
13 highest levels in spices, which is probably -- I don't
14 know how that ends up being, in terms of exposure source,
15 because people tend to use them in small quantities. So
16 anyway, just a concern, but I'm glad to see that that's
17 been beefed up and I'm getting some good frequency --
18 consumption frequency will be important. And then I guess
19 the other thing is, in terms of like seafood, which kind
20 of fish gets to be pretty important too.

21 DR. DAS: Yeah, regarding the question about BPA.
22 BPA is not one of the chemicals we're focusing on, so we
23 did not focus our questions to get details about BPA. And
24 this is a pilot again. So we did have to sort of focus
25 our attention on issues that we felt we wanted to focus in

1 for this population.

2 We did have these debates in our group about how
3 much detail to put on each of these, including kinds of
4 seafood. And for various reasons this kind of general
5 history is what we ended up with. Again, partly driven by
6 the focus of the chemicals that we were particularly
7 interested in.

8 CHAIRPERSON MORENO: Dr. Quint, it looked like
9 you had a question, and then Diana you still have some
10 more to present, correct?

11 MS. LEE: (Nods head.)

12 PANEL MEMBER QUINT: That's okay. I just -- I
13 heard some talk about remodeling or sprucing up before the
14 baby and concentrating on lead. I just want to point out,
15 there's a solvent that's a developmental toxicant that is
16 used to strip paint, N-Methylpyrrolidone. So if we -- you
17 know, it's widely used. And think about inserting
18 something about paint stripping, you know, and use of that
19 chemical, because it goes through the skin, et cetera, and
20 I think it's a real potential hazard.

21 MS. LEE: Thank you.

22 --o0o--

23 MS. LEE: All right. So going on, we're still
24 at -- okay, let me rearm it. So earlier I said that if a
25 woman asked specifically to have her results back, she is

1 example of some of the questions that the staff would ask
2 them. For instance, they'll show a hypothetical test
3 result in different formats, and then ask them to explain
4 what this figure tells them, what did you like or not like
5 about how these results were presented, and is there
6 anything you would like to know about your test results
7 that this example doesn't tell you. Again, trying to get
8 at comprehension and understand -- and user preference for
9 the way this information is returned.

10 --o0o--

11 MS. LEE: Okay. So going onward to the bulk of
12 the -- all the women who follow through with delivery and
13 so on that we can track, we will be collecting maternal
14 blood, umbilical cord blood, and then providing stipends
15 for that during the delivery period. And then while the
16 woman is in the hospital, we've been told that that's the
17 optimal time to try to look at the medical records and do
18 data abstraction using those records.

19 So we'll aim for that period and know that we'll
20 probably have to do some catch up, if we don't finish it
21 all during the time the mother and the baby are in the
22 hospital, those initial 48 hours.

23 --o0o--

24 MS. LEE: This slide here just shows, you know,
25 kind of a graphical format, the dispensation of the

1 specimens to be collected. The urine collection will
2 happen again much earlier during -- before delivery. And
3 these are the analytes to be tested for in the urine. The
4 urine will be frozen at UCSF and then shipped to our lab
5 in Richmond.

6 At delivery, the mother's blood will be collected
7 and again indicates the blood will be analyzed for metals.
8 And the serum will be separated at UCSF and then frozen
9 and sent to, again, the Richmond Lab. And further
10 aliquots will be taken, and then sent to the Environmental
11 Chemistry Lab for analysis.

12 --o0o--

13 MS. LEE: Similarly with the fetal cord blood, we
14 will be doing some metals, as well as persistent organic
15 chemicals in serum.

16 --o0o--

17 MS. LEE: And I don't know if you caught it, but
18 we are hoping to get a total of at least 30 ML's of whole
19 blood that -- I mean red top -- blood collected in red top
20 tubes that can be centrifuged. And one of those tubes
21 will be stored or archived for -- and possibly used for
22 splits as well.

23 We have a commitment from the Inorganics Lab that
24 they will be able to do the blood metals roughly every two
25 weeks, analyze a batch of blood metals. And this is to

1 enable us to catch high leads in maternal bloods, as soon
2 as we can.

3 We have worked out a procedure where -- well,
4 it's mandatory lead reporting in California. So if we see
5 that a maternal blood is greater than four and a half
6 micrograms per deciliter, as recommended by our
7 Occupational Health Branch, there will be some follow up
8 of those women.

9 Similarly, for fetal cord blood, if we find
10 findings greater than 10 micrograms per deciliter, they
11 will also be referred for follow up through their
12 respective county system.

13 And with mercury, we're going to be defining a
14 level probably close to the 5.8 micrograms per liter,
15 unless we have better evidence that maybe we need to go
16 lower for maternal blood.

17 The rest of the -- with respect to these kind of
18 critical values, all the values will be reviewed by UCSF
19 or State staff, and then there will be some contact with
20 participant -- by phone and mail immediately, if needed,
21 and then referral with Dr. Naomi Stotland at the Women's
22 Health Center for ultimate referral to UCSF's Occupational
23 Environmental Medicine Clinic for follow up as needed.

24 --o0o--

25 MS. LEE: With respect to the other analytes,

1 pregnant women, compare that with national data from
2 NHANES. Also, the presence and distribution of levels of
3 chemicals in infant cord blood. And then to compare the
4 levels of maternal blood chemical levels to infant blood.

5 Next slide.

6 --o0o--

7 DR. DAS: In addition, we'd like to look at some
8 of these elements, demographic differences on chemical
9 levels, associations between chemicals and the exposure
10 sources, using the questionnaire data as a measure of
11 exposure, the relationships between outcomes, birth
12 outcomes and chemical levels.

13 We realize that this is a relatively small sample
14 size, and our power to detect some of these differences
15 may be pretty low. But since this is a pilot for a larger
16 study, we hope at least to start looking at some of these
17 elements.

18 In addition, the last bullet here is something
19 that UCSF is particularly interested in developing a
20 metric to look at the cumulative maternal infant exposures
21 to chemicals using such elements as frequency of exposure,
22 similar acting chemicals, and a toxicity-weighted summary
23 measure of exposures is something they're hoping to
24 develop.

25 Next slide.

1 follow up from my previous dietary question, because I
2 went back to remind myself what the priorities were, and
3 noticed -- so it's pesticides and perfluorinated
4 chemicals. And so I just wanted to be sure that there are
5 questions about non-stick coatings. And so do you eat
6 microwave popcorn and Chinese takeout and pizza and all
7 those are in there?

8 DR. DAS: Yes.

9 PANEL MEMBER MCKONE: Pictures.

10 DR. McNEEL: Pictures.

11 DR. DAS: Yes, pictures -- yes, we have those
12 questions in there.

13 PANEL MEMBER SOLOMON: Okay, that's great.
14 That's helpful.

15 CHAIRPERSON MORENO: Dr. Wilson.

16 PANEL MEMBER WILSON: Just a comment. Thank you
17 for this really clear set of slides, the schematic graphic
18 that you traced from the very beginning of the slide set
19 to the end was really helpful for tracking your
20 presentations. So thank you.

21 DR. DAS: Yeah, thanks to Ngozi our CDC
22 prevention specialist for coming up with that.

23 PANEL MEMBER BRADMAN: I had a question.

24 CHAIRPERSON MORENO: Yes, go ahead.

25 PANEL MEMBER BRADMAN: On slide 49, my eyes are

1 finally changing. On slide 45, you talked about critical
2 values for follow up. And you mentioned lead and mercury,
3 and those particularly have some known standards. It
4 sounds like you were going to use a process to develop
5 some criteria to evaluate high levels for other compounds
6 as well. I know there's a lot of challenges there. Is
7 there more you can say about that or is that maybe
8 something we can talk about at another meeting or --

9 DR. DAS: That's definitely something we will
10 talk about at another meeting. That's something that's in
11 development. And I don't think we have enough developed
12 to discuss it at this point, but it's definitely something
13 we will bring up at a future meeting.

14 PANEL MEMBER BRADMAN: I had another comment too.
15 There was some written comments that were submitted by Dow
16 Chemical and Dow AgroSciences about this project. And I'm
17 wondering if there's anyone -- is there going to be a
18 comment on that from Dow or -- okay.

19 CHAIRPERSON MORENO: Dr. Bradman, we'll open that
20 up to public comment in just a minute.

21 DR. BRET: Well, I have no public comment. I'm
22 just here representing my colleagues.

23 This is Brian Bret from Dow AgroSciences. I have
24 no public comment. I'm here just representing my
25 colleagues who are unable to be here themselves, and we

1 appreciate the opportunity to provide some comment and
2 feedback and would look forward to work cooperatively and
3 provide whatever assistance we can, particularly on our
4 molecules, in particular and our experience with these
5 type of studies.

6 Thank you.

7 CHAIRPERSON MORENO: Dr. Quint, do you have
8 another question?

9 PANEL MEMBER QUINT: Julia Quint.

10 It's just a follow up to Asa's comment, a
11 question about follow up. Even if you can't make, you
12 know, quantitative -- can't do a robust comparison or to
13 say anything about the values, you are comparing some of
14 the values to NHANES. So I'm wondering if that's helpful
15 is to say based on what the population at-large, you know,
16 the values there, that, you know, you're in the 95th
17 percentile or something.

18 I mean, that -- certainly, those types of
19 comparisons could be made without having to say anything
20 about, you know, what it means for a health outcome. But
21 it is, sort of, an indicator that exposure should -- an
22 attempt to reduce exposures, to the extent possible,
23 should begin immediately, it would seem to me. So I'm
24 wondering if any of that is planned?

25 DR. DAS: Yes, that's an excellent comment. And

1 that is something we do have planned to compare overall,
2 and then individual results to the NHANES information. So
3 both in terms of presenting it to the public, as well as
4 communicating back to the individual, the one thing we do
5 have is the national data of -- data from the National
6 Biomonitoring Program, and the educational materials that
7 we can develop, such as the example that you have to start
8 to recommend to people some of the preventive measures
9 that they can take.

10 MS. LEE: I think that's also the challenge that
11 we'll have in trying to come up with a, hopefully, easily
12 understandable template, for returning results when we're
13 measuring up to probably over 100 chemicals, and how to
14 portray that in a way that is understandable, where we
15 have the woman's individual results and want to also show
16 comparison say, either to an NHANES population or another,
17 you know, pregnant women's study or whatever. I the
18 comparisons -- and, of course, the group data, to compare
19 to that will be a challenge.

20 CHAIRPERSON MORENO: Dr. Quint.

21 PANEL MEMBER QUINT: Julia Quint. And I like
22 your handout -- I didn't turn it on. I had my mouth there
23 but no power.

24 (Laughter.)

25 PANEL MEMBER QUINT: Julia Quint. Yeah, I liked

1 your handout. And I was wondering if there was some brief
2 kind of overview. You know, it's all about what people
3 can do personally. And I think that that's very
4 important, but the context for that, in terms of, you
5 know, why we want them to do these things and why we're
6 concerning about chemicals, even if it's a few statements,
7 might be helpful, because we also want to raise
8 consciousness without -- because, you know, people are
9 pregnant, so they're concerned about, you know, their
10 babies and what they can do to make them healthy and their
11 part in it.

12 And I think something about, you know, our
13 concern about chemicals in our environment and that, you
14 know, this is something that lots of people are concerned
15 about, and these measurements are being made or something
16 like that, so that we don't -- I'm just always a little
17 concerned when there's a lot of personal -- emphasis on
18 personal actions, there should be, but also the context
19 for that and why it's important. Because people should be
20 able to eat what's healthy and all this sort of stuff and
21 now we're overly concerned about fish and, et cetera.

22 MS. LEE: That's a very good point. And I think
23 we're trying not to go on the point of information
24 overload, but also trying to understand what can be
25 conveyed logically.

1 PANEL MEMBER QUINT: Exactly.

2 DR. DAS: And that is also part of the whole
3 effort by UC Berkeley and Health Research for Action. I
4 think some of those messages will be developed trying to
5 see what we can educate people on that they can
6 understand, in terms of the overall reason for
7 biomonitoring and what it means in terms of something
8 beyond their personal behaviors.

9 CHAIRPERSON MORENO: Okay, Panel members, at this
10 point, there may be some more questions, but I want to at
11 least give the public an opportunity to provide any
12 comments. And then we can bring it back to the Panel
13 members.

14 So, Amy, were there any Emails on this topic?

15 MS. DUNN: No Emails.

16 CHAIRPERSON MORENO: Okay. And --

17 MS. DUNN: I believe we do have someone in the
18 audience.

19 CHAIRPERSON MORENO: At this time, anyone in the
20 public who's present wishing to speak now is the time to
21 come forward.

22 Thanks.

23 And it looks like we have Mr. Baltz. Was there
24 anyone else, so we can divide up the time evenly? Anyone
25 else wishing to speak?

1 Okay.

2 MR. BALTZ: Thank you. Davis Baltz Commonweal.
3 Well, I'm very enthusiastic about this project, and have
4 been from the time that the possibility of it became
5 apparent. And I want to commend the staff for all the
6 work that they've done to bring it along. Since the last
7 time we met, the California Wellness Foundation grant has
8 come in, and that is enabling this work on the
9 questionnaire development and the results communication,
10 and that's going to be very important.

11 You've recruited some wonderful resources, Rachel
12 Morello-Frosch and Holly Brown-Williams. And their
13 expertise will really contribute a lot to this aspect of
14 the project.

15 I wanted to just make a couple of comments on the
16 questionnaire itself and some things that came to my mind
17 while I was listening to the presentation. I have done a
18 lot of interviewing myself through the years, and I
19 completely understand the need to sort of put some
20 parameters around how long an interview is going to last.
21 But I do think that, to the extent that resources are
22 available and a little more time can be devoted to tease
23 out some of the issues or circumstances that the study
24 contributors will find themselves in and can contribute to
25 the interviewer, that you could come up with some valuable

1 information, would it be possible, for example, to conduct
2 your in-person interview in the home? Extra expense of
3 course.

4 But rather than do, you know, an hour long
5 interview and have the study contributor also contribute
6 an hour to filling out the at-home survey. If you could
7 do the survey at home, you have this observational quality
8 to seeing the non-stick or stick cookware and how
9 scratched is it, as opposed to relying on someone's
10 recollection. So that can obviously be very valuable.

11 You can also, you know, pick up whether there's
12 flaking paint. You can pick up whether there's a lot of
13 phthalate toys laying around that siblings might be using.

14 So doing an interview in the home presents a lot
15 of challenges and extra expense, but -- and it's probably
16 too late to even consider it, but I think you'll end with
17 a more robust data set, if you can consider doing
18 something like that.

19 During the recruitment phase, when you're
20 actually approaching people in the waiting room or after
21 they've agreed, it seems to me it might be helpful to also
22 try to explain the public health value of this study that
23 you're asking them to participate in to give them another
24 reason to participate, that in addition to perhaps
25 learning something useful that they can use personally,

1 they are contributing to something that's broader than
2 their family and even their communities. So that in the
3 future all families that are thinking about becoming
4 pregnant or even families that aren't thinking about
5 becoming pregnant, will benefit from their contribution to
6 the study. It could, you know, help enable them to devote
7 extra attention to filling out the surveys and so forth.

8 In a similar vein, I think, you know, explaining,
9 for example, in the exposure assessment questions, why
10 these questions are being asked. It's so that we can
11 really zero in on how exposure is happening to these
12 chemicals, so that people can kind of think a little more
13 deeply about their responses.

14 I also had a comment on the dietary questions.
15 To simply run down a list of food items and ask people do
16 they eat this, do they eat that and how often, you may
17 want to zero in on that ultimately so you really feel
18 you've gotten a good idea of what their diet is. But I
19 think it could be a good idea to have the first question
20 in diet be open-ended and just have them describe to the
21 interviewer what they eat in a typical meal, a typical
22 day, or a typical week, and that will fill out some of the
23 questions already that you have listed in bullet form, but
24 also gives the interviewer a chance to follow up and delve
25 a little more deeply into the exact components of the

1 diet.

2 For the questions about, did you use X chemical
3 in the last 30 days, I think for the persistent chemicals,
4 you're going to lose some information that way. You're
5 concerned about chemicals that are going to be present for
6 longer than 30 days, so I think you may want to think
7 about asking have you used -- do you use these chemicals
8 on an ongoing basis or since you became pregnant or since
9 before the time you became pregnant, as some of the
10 impacts that might happen would presumably take place
11 before the mother even knew she was pregnant, but might
12 have been exposed to a chemical that could be harmful.

13 I'd also then echo some of the comments that were
14 made by the Panel members about really exploring the
15 occupational history, not only of the pregnant woman
16 during her pregnancy, but prior to pregnancy and the
17 take-home exposures that her partner or another family
18 member may have experienced and brought home on their
19 clothes or elsewhere.

20 And then finally, I'd like to make a comment
21 about the Dow letter, which I saw posted on the website.
22 And, you know, they've pointed out a number of important
23 factors to consider in biomonitoring studies.

24 For example, you know, if you could take
25 repetitive samples, you would have more information to

1 work with than a single sample. Sometimes it's important,
2 of course, to measure the metabolites, and especially if
3 you maybe can't measure the parent compound. And for the
4 urine samples, for example, yes, a 24-hour sample would be
5 better than a single sample. But I think the important
6 point is that the people who are designing this study have
7 thought about these things. Any responsible researcher
8 would know that. And you have to address the limitations
9 and the context of these things within the study that
10 you're conducting. And it should by no means prevent you
11 from carrying out the biomonitoring study to begin with.

12 The reason we're doing this is to gather more
13 information on human exposure to chemicals. And if we
14 could do repetitive samples, that would be great. But in
15 this study, in particular, we're talking about umbilical
16 cord blood and it's simply not going to be possible. So
17 we shouldn't downgrade the value of the data that we can
18 gather just because we can't do it in a different way that
19 might yield more data under other circumstances.

20 So sorry for being long-winded, and thanks for
21 the chance to comment.

22 CHAIRPERSON MORENO: Okay. Any other public
23 comment?

24 Okay, so we'll close the public comment portion
25 and we'll bring it back to the Panel.

1 This is the opportunity for the Panel to discuss
2 this topic and make any recommendations to the Program?

3 Anybody?

4 This is Ed Moreno. So, Dr. Das, in the
5 discussion portion, Panel members did provide quite a bit
6 of feedback on the survey itself. It appears to me that
7 you're in the middle of IRB review for one institution and
8 about to submit to the other institution. So it still
9 seems though that the general comments provided by -- the
10 comments provided by Panel members and the public could be
11 of use in that -- you still have to, I understand, prepare
12 educational material, and you'll have opportunities to
13 engage the subjects -- a portion of the subjects in
14 feedback activities as well. So the information we shared
15 may be helpful there.

16 And also, you will be responding to IRB questions
17 at some point in this process, so having this information
18 may be helpful as well.

19 I just want to ask if there's any other way that
20 the Panel could be of assistance at this point?

21 DR. DAS: Yes, thank you. We've been taking
22 notes on the suggestions that you've provided and we will
23 take them back for consideration and include the ones that
24 are possible to include, both in the revised material
25 that's submitted to our IRB, as well as the educational

1 materials, which are still under development. And the
2 questions for reach-back, that protocol is still to be
3 developed, so it's not too early to provide feedback in
4 that regard.

5 I think the information that you've provided and
6 the feedback is very helpful. And I can't think of any
7 other way you can be of assistance at this point. But I
8 think what you have provided is very helpful for us to
9 consider.

10 CHAIRPERSON MORENO: Okay. Any final comments
11 from Panel members on this topic?

12 Okay. Thank you, Dr. Das.

13 DR. DAS: Thank you.

14 CHAIRPERSON MORENO: At this point, we were
15 scheduled to go to 3:30 on this topic, and then take a
16 break. So I guess we could take a break early. We do
17 have to take a break, because our --

18 THE COURT REPORTER: Court reporter.

19 CHAIRPERSON MORENO: -- court reporter -- thank
20 you -- needs to take a break before we continue. So we
21 were going to take a 15-minute break, so I think we can go
22 ahead and take a -- is 15 minutes okay with Panel members
23 and come back?

24 Is that right?

25 All right, so on that clock in the back of the

1 room, I've got 10 'til three, so about five after three
2 we'll come back. Is that good?

3 All right, thanks.

4 (Thereupon a recess was taken.)

5 CHAIRPERSON MORENO: Okay. We're going to get
6 started.

7 Welcome back. And this is Ed Moreno. I'd like
8 to introduce the next speaker. This is a discussion of
9 possible priority chemicals, and I'd like to reintroduce
10 Dr. Gail Krowech.

11 (Thereupon an overhead presentation was
12 Presented as follows.)

13 MS. DUNN: Is it working?

14 DR. KROWECH: No.

15 --o0o--

16 DR. KROWECH: Okay. So by way of review, the
17 criteria for recommending priority chemicals are listed
18 here. They are the degree of potential exposure to the
19 public or to specific subgroups; the likelihood of a
20 chemical being a carcinogen or toxicant, based on
21 peer-reviewed health data, the chemical structure, or the
22 toxicology of chemically related compounds; the limits of
23 laboratory detection for the chemical, including the
24 ability to detect the chemical at low enough levels that
25 could be expected in the general population; and other

1 criteria that the Panel may agree to.

2 Again the criteria are not joined by an "and".

3 --o0o--

4 DR. KROWECH: And the Panel does not need to name
5 additional criteria.

6 The potential priority chemicals for
7 consideration today are polychlorinated biphenyls PCBs,
8 those that are already designated; and benzophenone-3,
9 which is 2-hydroxy-4-methoxybenzophenone, which CDC puts
10 in the category as an environmental phenol.

11 --o0o--

12 DR. KROWECH: For PCBs, they've been banned since
13 the late seventies. Current exposure is primarily via
14 diet, foods with high fat content, such as meat, fish,
15 dairy. There are high levels in certain fish, and fish
16 advisories concerning PCBs in certain areas of California.

17 In the State science, scientists query on
18 chemicals for biomonitoring. PCBs were cited as among the
19 most important chemical contaminants in fish. And this is
20 particularly important for the subgroup of subsistence
21 fisherman. There are also high levels -- high levels of
22 PCBs were found in adipose tissue in Californians

23 In terms of toxicity, PCBs are listed under
24 Proposition 65 as causing both cancer and developmental
25 toxicity. And they are also endocrine disrupting

1 chemicals.

2 --o0o--

3 DR. KROWECH: In terms of laboratory analysis,
4 PCBs can be extracted with PBDEs from the same sample, and
5 laboratory methods already are implemented. Data are
6 available from the 1960s, 1980s and 2000s. And PCBs can
7 be used as a point of reference for emerging persistent
8 and bioaccumulative chemicals, such as PBDEs.

9 --o0o--

10 DR. KROWECH: Benzophenone-3 is the second
11 potential priority chemical. And this chemical came to
12 our attention because high levels of benzophenone-3 were
13 found by CDC. And this is a chemical that for the first
14 time the results were in the 4th report.

15 Benzophenone-3 is a common sunblocking ingredient
16 in sunscreen, lotions, conditioners, and cosmetics. It's
17 also used as UV stabilizer in plastic surface coatings,
18 including food packaging.

19 There's likely high use of sunscreens in
20 California, because of the sunny climate, outdoor
21 lifestyle, and high rate of skin cancer.

22 Several studies have provided evidence that
23 benzophenone-3 is an endocrine disruptor.

24 --o0o--

25 DR. KROWECH: In terms of laboratory analysis,

1 the State Lab has not yet developed methods. Analysis,
2 when methods are developed, can be bundled with bisphenol
3 A and/or other phenols.

4 --o0o--

5 DR. KROWECH: And this last slide is simply a
6 duplicate of the table that was provided to you with the
7 materials.

8 That's it. Any questions?

9 CHAIRPERSON MORENO: Yes. Let's start on this
10 side.

11 Dr. McKone.

12 PANEL MEMBER MCKONE: Yeah, is there any toxicity
13 data, I mean, just briefly about benzophenone-3?

14 DR. KROWECH: There's several papers, the details
15 of which don't escape me, but I can't remember -- I think
16 that it increased cell proliferation in vitro. I'm not
17 quite sure which cell lines. It might have been a breast
18 cancer cell line, but there may be three or four papers on
19 this?

20 PANEL MEMBER MCKONE: So there's some hazard
21 characterization, right --

22 DR. KROWECH: Yes

23 PANEL MEMBER MCKONE: -- that would indicate -- I
24 mean, at least hazard indicators for the compound.

25 DR. KROWECH: Yes.

1 PANEL MEMBER MCKONE: No bioassays on
2 reproductive cancer or neurotoxicity?

3 DR. KROWECH: No.

4 PANEL MEMBER MCKONE: All right. Thank you.

5 CHAIRPERSON MORENO: Other questions?

6 Dr. Luderer.

7 PANEL MEMBER LUDERER: Yeah. I was just
8 wondering if you could give us a little more maybe insight
9 as to why say benzophenone-3 versus other sunscreen
10 ingredients? I mean, is there a particular reason for
11 choosing that one?

12 DR. KROWECH: Only because this is the one that
13 CDC -- that's designated, you know, that's in our
14 designated list. And CDC recently provided their data and
15 showed high levels?

16 CHAIRPERSON MORENO: Okay. Dr. Quint.

17 PANEL MEMBER QUINT: Julia Quint. So in terms of
18 alternatives, chemicals used as sunscreens, there are some
19 that don't have -- we don't have concerns about, so there
20 are safer alternatives, I guess, I'm asking --

21 DR. KROWECH: I don't know the answer.

22 PANEL MEMBER QUINT: -- to the extent that you
23 know this?

24 DR. KROWECH: I don't know the answer to that.

25 PANEL MEMBER QUINT: Okay. Because I'm just

1 wondering, we're talking about, you know, use of this
2 chemical to prevent skin cancer. And I'm just wondering,
3 you know, what else we're -- what else will be used in its
4 stead, should we aim toward lowering exposures to it. But
5 that's another concern, not maybe of the biomonitoring.

6 CHAIRPERSON MORENO: Dr. Solomon.

7 PANEL MEMBER SOLOMON: Yeah. I'm not sure I'm
8 totally persuaded on either of these. In the case of the
9 PCBs, I think that the Panel has previously signaled that
10 we're interested in sort of the chemicals of tomorrow, not
11 so much the chemicals of yesterday. And also chemicals
12 where we might expect to see something different going on
13 in California versus other places.

14 And I'm not really persuaded that the PCBs are
15 where we want to be going with this program. Of course,
16 if -- I guess there's no huge downside to prioritizing
17 them, since they're already basically being tested for in
18 the laboratory and the method is already developed, so it
19 wouldn't take much additional work. But anyway. So
20 that's on the PCBs.

21 And on the benzophenone-3, I haven't looked in a
22 lot of detail into the sunscreen issue, but
23 benzophenone-3, my recollection is, is one of the
24 selective UV blocking agents, which are tending to be
25 replaced more and more by the ones that are both UVA and

1 UVB blocking, which -- you know, such as the titanium
2 nanoparticle kind of sunscreens, which are the ones that
3 actually, you know, we've been sort of focused on, in
4 terms of potential health issues, not that -- I think that
5 benzophenone-3 is fantastic. There is, you know,
6 certainly some evidence of estrogenicity.

7 So I just sort of wasn't -- you know, I shared
8 some of Dr. Luderer's questions about why this one should
9 be pulled out and be particularly high priority at this
10 point, especially -- I guess part of what's influencing me
11 is I'm cognizant of the fact that we already have quite a
12 long priority list, and I really like the things on that
13 prior list. And once we start putting everything on the
14 priority list, then nothing really feels like a priority.

15 CHAIRPERSON MORENO: Oh, Diana.

16 MS. LEE: Hi. I'd like to just comment on the
17 polychlorinated biphenyls. We've received quite a bit of
18 interest, especially from the UCSF staff, to include PCBs
19 as a chemical analyte in the Maternal Infant and
20 Environmental Exposure Project, specifically because of
21 the potential impact on thyroid hormone. And they do want
22 to look at multiple chemicals that have potential for
23 thyroid disruption. So PCBs is high on their list, and
24 it's something the State staff are also interested, in
25 terms of looking at, with respect to data analysis.

1 So again, given that Dr. Myrto's lab already has
2 these methods in place and has been analyzing them, we
3 felt it was just kind of close the loop to include them as
4 one of the priority chemicals.

5 PANEL MEMBER BRADMAN: This is a question maybe
6 for Myrto, but if I remember correctly, the CDC Lab
7 methods, which you're implementing, at least I know
8 Andreas Sjödin produces PCBs, PBDEs and organochlorine
9 pesticides in the same analysis. And that the only real
10 extra work is data reduction.

11 DR. PETREAS: It's in the same sample, so you
12 save precious blood sample. Several steps in the
13 analysis. And the standards are expensive. So the
14 incremental costs, I mean, in my mind, is worth it. But
15 it does cost to add the standards, to do the extra
16 injection in the instrument, the data managing and QC and
17 everything.

18 But it's not like having a new analyte. You
19 don't start from scratch. The first steps are common and
20 then you start bifurcating and doing different procedures.

21 CHAIRPERSON MORENO: Dr. Wilson.

22 PANEL MEMBER WILSON: Thank you, Chair.

23 I guess I'm curious about the use of PCBs as a
24 point of reference for these other substances, if that's
25 something you can comment about?

1 DR. PETREAS: It's very common when data on PCBs
2 PBDEs or other chemicals are presented, it's -- Myrto
3 Petreas. Sorry. So it's very common to use at least PCB
4 153, which is the most common PCB, as a point of
5 reference, saying now in this population PBDEs have
6 exceeded PCBs. So it's something that's very useful. And
7 for the incremental cost of producing and generating this
8 data, it's traditional that people who measure them
9 together, they always have this as a reference to see how
10 things are -- PCBs are slowly dropping. Others are
11 emerging, so it's nice to know when they intersect and
12 where we are.

13 PANEL MEMBER WILSON: So if I could follow up on
14 that.

15 PANEL MEMBER BRADMAN: Just one more thing
16 related to that.

17 PANEL MEMBER WILSON: Sure.

18 PANEL MEMBER BRADMAN: It's also been useful, I
19 know, in those working with PBDE data, for example, to
20 look at another persistent compound and compare -- you
21 know, look at the correlations, also look at potential
22 sources. And for us, it's been a way to show that the
23 sources of PBDE exposure are not the same as PCBs, because
24 they're uncorrelated and because the PBDEs are probably
25 coming from diet and house dust.

1 But by showing they're uncorrelated, you're kind
2 of just confirming that there's new sources out there for
3 these other compounds. That's another use.

4 DR. PETREAS: Yeah, that's exactly the point. In
5 wildlife, for example, they correlate, because the food
6 web for both PCBs and PBDEs. But in humans, because of
7 indoor sources or spot, you know, exposures, you can see
8 no correlations with the PCBs, which is again interesting.

9 So I think PCB would be a good marker for diet.
10 So other chemicals, PBDEs or the future other chemicals,
11 who may not be entirely from diet, it's a good
12 distinction.

13 PANEL MEMBER WILSON: And by designating it as a
14 priority substance, that would then allow you to do that
15 analysis simultaneously or at the same run, I guess?

16 DR. PETREAS: For the Program. We're doing it
17 already. So the method is there and we're doing it
18 already for other studies. So the methodology is
19 available. But as far as the Program, I guess it has to
20 go through this approval to be part of the --

21 PANEL MEMBER WILSON: I mean, do we have to
22 prioritize it for the lab to do that work, to run those
23 samples simultaneously with your PBDE samples?

24 MS. HOOVER: That's a question for our lawyer, I
25 guess.

1 (Laughter.)

2 PANEL MEMBER WILSON: I think this gets to Gina's
3 questions, you know, actually.

4 MS. HOOVER: I mean, my feeling is if this is --
5 this is the way that I've been looking at it. If they're
6 doing a study that involves outside collaborators and
7 outside funding. This isn't just the statewide
8 Biomonitoring Program. You know, they're having outside
9 collaborators. And so to me, you know, there's outside
10 funding. So I think it's -- I mean, I don't know. I
11 think that's a legal question, but I think that it's
12 helpful. You know, it's helpful for the Program, because
13 the Program, for a whole bunch of reasons, Gail mentioned
14 State scientists have highlighted PCBs as an ongoing
15 concern. I've had, you know, breast cancer advocates
16 mention it as an ongoing concern.

17 There is also -- Gail didn't talk about
18 everything, but there is also a dump of PCBs off the
19 California coast that's still a problem.

20 So, you know, I think it's -- and the reasons
21 that Myrto gave, we have this database of information.
22 And it's like saying, okay, we're just not going to
23 continued that now, but it's still an important chemical.
24 It's not down to such low levels that it's irrelevant now.
25 That's our view. So that would be my -- I mean, I know we

1 realize that -- you know, you've given us certain
2 instructions. And we really brought this to you because
3 of desires and needs of the program, to continue to
4 measure the measured PCBs. Again, with benzophenone-3,
5 it's just -- you know, very high levels were showing up,
6 so we're pointing things to you on the designated list
7 that may be of interest, you know, and that's why these
8 are in front of you now. And we're working through the
9 list that you've given us as well for the designated side
10 of things. So just to clarify that point.

11 I don't know, Carol, did you want to add thinking
12 or Lauren.

13 CHIEF COUNSEL MONAHAN-CUMMINGS: Well, what I --
14 is this on?

15 What I wanted to say is just to remind you all
16 that you are an advisory panel, and so you're still just
17 recommending priorities to the State agency.

18 MS. HOOVER: Carol, a little closer.

19 CHIEF COUNSEL MONAHAN-CUMMINGS: So you're
20 recommending priorities, right. And so the State agencies
21 can still decide which chemicals are priorities for them.
22 And so, no, it isn't mandatory that you identify these
23 chemicals in order for them to test for them in the
24 Biomonitoring Program.

25 But I think it does help them as they're choosing

1 chemicals, if this panel has identified something as a
2 priority from your perspective, all right. So, you know,
3 the advice helps, but it is not mandatory that it be on
4 the priority list in order for them to study it, to make
5 that decision.

6 PANEL MEMBER LUDERER: Ulricke Luderer.

7 I have a comment and kind of a question maybe for
8 Dr. Petreas that relates to the PCBs. So one of the
9 things that we've been talking about that various people
10 have mentioned is this idea that, you know, one of the
11 reasons for continuing to measure them is also observing
12 kind of the trends over time, and that hopefully they're
13 continuing to decrease over time as we biomonitor for them
14 because they've been banned.

15 But in looking at the NHANES IV data that was
16 provided to us prior to this meeting, one of the things I
17 noticed was that for the non-dioxin-like PCBs quite a lot
18 of them during the time periods that they were measured,
19 so 99 and then 2001, 2002, 2003, 2004 they actually went
20 up from '99 to 2001, and then kind of went back down to
21 1999 levels, so there didn't seem to be kind of a
22 continuing trend with time. And I was wondering whether
23 there's any maybe measurement related reason for that, or
24 is that thought to really be, you know, a trend that's,
25 you know, true and how could it be explained? I was

1 wondering if you had any comment on that.

2 DR. PETREAS: I haven't even seen that. I didn't
3 notice this data. I mean, generally we know -- this is
4 Myrto Petreas. And my comment is I haven't seen the data
5 you referred to. And I never noticed that NHANES went up
6 and down. I mean throughout the world, less well-designed
7 studies than NHANES, maybe it's more small studies, show
8 declines, and it's expected to show declines.

9 Our data from California from again disparate
10 studies show declines over the decades. So we expect them
11 to continue to drop, but they're still there, and they're
12 still measurable, and they give us a good point of
13 reference.

14 CHAIRPERSON MORENO: How about Dr. Quint and then
15 Dr. Solomon.

16 PANEL MEMBER QUINT: Julia Quint.

17 It's very interesting to hear from you why you
18 brought this issue to us, in terms of, you know, PCBs in
19 particular, as a priority chemical. And I'm intrigued by
20 the early results, I think, that you showed, Myrto, from
21 the MIEEP Study. I guess, it was the -- not the -- I
22 don't know what study it was. It wasn't that study, but
23 of showing pregnant women having higher levels of PB --
24 yeah, it was one of the PBDEs, and also what Asa said
25 about the lack of correlation between those two.

1 I think it's interesting the thyroid connection,
2 the fact that, you know, you get the flame retardants up
3 in pregnant women, and, you know, to look at the
4 correlation between the PCBs and the flame retardants is
5 quite interesting to me.

6 And I wouldn't have -- I came -- initially, I was
7 thinking why PCBs, you know, why are you bringing this
8 before us. So I just think it's -- I guess, I'm
9 encouraging you to bring these issues before us even if
10 you could go ahead and just measure them, because it's --
11 I've learned, you know, your reasoning behind it. And,
12 you know, I think it would be a missed opportunity really
13 now that I'm hearing this to not measure them or to not
14 make them a priority or whatever we're doing here --
15 whatever decision we're making. And I didn't initially
16 start out that way. I guess that's all I'm saying.

17 CHAIRPERSON MORENO: Dr. Zeise.

18 DR. ZEISE: Yeah, I think this has already been
19 mentioned, the UCSF collaborators are looking at
20 cumulative risk issues, and they have special expertise on
21 the thyroids. So again, I think that that was another
22 impetus for including PCBs in that study.

23 PANEL MEMBER SOLOMON: A couple things. One is
24 that some of these issues around, you know, PCBs as a
25 marker for diet and illustrating the sort of different

1 exposure pathways have been, by now, you know, established
2 pretty well in a number of other studies. So I'm not sure
3 that reestablishing them in the context of the greater
4 Biomonitoring Program is necessarily a priority.

5 And I guess part of the thing is I'm thinking
6 here about trying to separate out a little bit the
7 ongoing, you know, study that's going on right now with
8 UCSF, which I think, you know, there's very articulated
9 reasons for including PCBs in that particular study.
10 That's fine. I think especially the sort of cumulative
11 exposure to thyroid toxicants is very important and
12 interesting.

13 But in terms of sort of the overall direction of
14 the Biomonitoring Program going into the future, it just
15 feels -- it seems to me that it sends a signal that sort
16 of -- you know, about the priorities of that program, that
17 I don't feel very comfortable with, to have chemicals like
18 the PCBs be on that sort of short list of priorities for
19 the future.

20 And so what, you know, my inclination, though I
21 could certainly be persuaded if there's sort of the idea
22 that we need to designate -- you know, we need to put this
23 on the priority list in order for the Program to be able
24 to measure these chemicals, I would do that.

25 But it sounds like we don't need to. And what I

1 would say is go for it, you know, in the context of this
2 UCSF maternal and child study, and in other settings where
3 there are specific populations where it makes sense,
4 because you already have the method, especially if you
5 outside funding. But as the bigger Biomonitoring Program
6 we hope, you know, one day it designs and gets off the
7 ground and does the representative sample of Californians,
8 I wouldn't really put my effort into putting PCBs into
9 that program as part of it. I would focus on the other
10 things that are already on our priority list. So that's,
11 I guess, where I'm at. And I could vote either way on
12 this particular issue, but, you know, that's sort of what
13 I'd like to see, which is, you know, include PCBs and
14 specific studies and specific populations where it makes
15 sense, and leave them out of the big statewide ultimate
16 program that we hope will be funded.

17 CHAIRPERSON MORENO: Okay. If there are more
18 questions, we'll take more questions. If not, I want to
19 open it up to public comment. And after that, we'll bring
20 it back for discussion.

21 So, yes, we have one. Anyone else in the room
22 wishing to provide comment at this time?

23 It looks like we have one person in the room.
24 And were there any Emails coming in?

25 Okay, no Emails coming in on this topic. Okay,

1 go ahead introduce yourself.

2 MR. BALTZ: Davis Baltz, Commonweal.

3 You know, if we were in New York and we weren't
4 prioritizing PCBs, I think it would really raise some
5 eyebrows. I'm not saying that we should do anything based
6 on what people in New York might think, but PCBs have been
7 with us for a long time. They are a concern, and they're
8 going to be from now on. And I think it would be prudent
9 to go ahead and prioritize them. I don't think if we do
10 raise the resources to ramp up and do the statewide
11 representative sample any time soon, that doesn't mean
12 that everything on the priority list gets biomonitored.
13 There still has to be some selection process by staff.

14 But I think you might as well, if you have the
15 opportunity now, to prioritize it to do it, because to
16 come back later might just be a bureaucratic hurdle that
17 would be complicated and, you know, distracting.

18 And similarly for the benzophenone-3, if this is
19 showing up in high levels in Californians, I think it's a
20 different set of questions you want to ask on whether you
21 prioritize this. But this does sound like it is a
22 chemical of the future. And particularly with skin cancer
23 on the rise, more and more people are using sunblocks, so
24 that's another one that, I think, if I were in a position
25 to make a decision, I would prioritize that one as well.

1 So in summary, I think you have the opportunity
2 to prioritize these two chemicals that have been proposed
3 and I don't see a downside in doing so.

4 Thanks.

5 CHAIRPERSON MORENO: Okay. I'll ask again if
6 there's anyone in the public here at the meeting that
7 wants to provide any public comment?

8 I don't see any, so we'll close the public
9 comment and bring it back to the. Panel

10 So Panel members, further discussion on this?

11 Dr. Quint.

12 PANEL MEMBER QUINT: I have a question. We were
13 talking about the importance of diet and markers for -- I
14 guess my question is, are there other chemicals, other
15 than the PCBs, that would be good markers for, you know,
16 dietary sources of, you know, pollution, I guess for lack
17 of a better word? I mean -- and also that might have, you
18 know, the cumulative sort of thyroid risks that UCSF is
19 concerned about? This is not an area that I'm really that
20 familiar with.

21 MS. LEE: I think with respect to the persistent
22 organic pollutants, again because they tend to bind to
23 fat, that they would be most prevalent in high foods that
24 are high on the food chain with high fat contents of the
25 animal products in particular, the dairy, dairy products

1 and poultry and meats and so on.

2 And I think there have actually been a few
3 studies, and I think NHANES, in particular, looked at this
4 too, with respect to PBDE exposures, as it related to
5 diet, and found it most highly associated with like
6 poultry skin and things like that.

7 PANEL MEMBER QUINT: No, I'm familiar with those
8 two, but I meant, other than the PBDEs and PCBs, is there
9 something else on our designated list that we haven't
10 prioritized that we would think of -- I mean, this is kind
11 of a broad question, but is there anything on the
12 designated list that hasn't been prioritized that we
13 would -- that would be the same type of -- would that
14 present the same kind of data?

15 MS. LEE: Yeah, the DDT -- a number of the
16 organic -- you know, the class of DDT, DDE chemicals, for
17 instance, are another example of some of the persistent
18 ones that are old time kind of hanger-onners that we
19 haven't prioritized. And I think -- okay, so --

20 PANEL MEMBER SOLOMON: And the argument -- sorry.
21 I think the argument that persuaded the Panel on DDE was
22 the study showing that Mexican-Americans had far higher
23 concentrations, which suggested, you know, sort of some
24 issues that might be California specific that we would
25 want to look into in the Biomonitoring Program.

1 MS. LEE: I think hexachlorobenzene is another
2 one. And Myrto has left already, but HCB and -- is that
3 designated one?

4 PANEL MEMBER LUDERER: Dioxins.

5 MS. LEE: Well, dioxins, yeah, we haven't done
6 anything with that. Farla, is indicating acrylamide.
7 It's not persistent, she says.

8 PANEL MEMBER BRADMAN: Just a comment about the
9 benzophenone-3. Honestly, I would like to spend a little
10 more time to think about that one. I don't know if we
11 want to delay a decision, which -- but I know, given the
12 talk about the NHANES data, I'd like to look at that and
13 compare it to perhaps other data or perhaps look more
14 carefully at the papers, just so I understand what's out
15 there. I'm not saying I'd go either way, but just I'd
16 like to see a little bit more information.

17 CHAIRPERSON MORENO: Dr. Kavanaugh-Lynch.

18 PANEL MEMBER KAVANAUGH-LYNCH: And I think one of
19 the things I'd like us to consider when we're looking at
20 benzophenone-3 is not so much that compound by itself, but
21 that I could imagine wanting to biomonitor for sort of
22 total estrogenic load, as a measure in biomonitoring, in
23 which case, especially given the widespread exposure this
24 might become an important component of that.

25 PANEL MEMBER SOLOMON: And just to add to that

1 comment, the other way to look at this issue would be to
2 look at the sunscreens more generally, which might also be
3 interesting, to see what other -- because there are
4 cinemates and numerous other compounds in sunscreens, some
5 of -- I'm not sure where they all stand, in terms of
6 biomonitoring. And that might be a much bigger task. But
7 in the longer run that could be an interesting thing for
8 our committee to do.

9 PANEL MEMBER LUDERER: Ulricke Luderer.

10 I actually was going to also say that I think it
11 would be more useful to look at sunscreens kind of as a
12 category potentially, especially because they're -- I. --
13 think it's an area where there's a lot of substitution
14 going on from -- you know, and it's in flux kind of the
15 way the flame retardants are that we've been talking
16 about.

17 But I also had one other comment about the PCBs,
18 which is that kind of before -- you know, when I initially
19 saw it on the list of potential priority chemicals, I had
20 kind of the same response of oh, you know, PCBs have been
21 around forever. We've been measuring them forever and
22 they're declining and they're banned. And so, you know,
23 maybe they shouldn't be on the priority list, but actually
24 looking through some of the NHANES IV data that I just
25 mentioned a little while ago, some of them seemed to have

1 been declining at least over the three cycles of NHANES
2 that were in that report, but others really hadn't, and I
3 think that's interesting.

4 And, in fact, some of the most high-use ones. I
5 think somebody mentioned that PCB 153 was one of the very
6 most prevalent ones. And that one seemed so show this
7 different pattern.

8 And I don't know what the significance of that is
9 or what the cause for that pattern is, but, you know,
10 maybe there's more going on with the PCBs currently still
11 than we think. And I think that might be an argument for
12 putting them on the priority list.

13 PANEL MEMBER MCKONE: Just a little insight on
14 what's going on. It was actually described in the EPA's
15 dioxin reassessment. And some of it's theoretical and
16 some of it's fairly well documented, which is that
17 dioxin-like compounds, including PCBs, have accumulated in
18 soils and sediments where they can slowly come back out.
19 And the initial drop is in atmospheric levels, but as the
20 atmosphere drops, it starts driving out the, what they
21 call, the reservoir sources.

22 And they expected to see it drop and then start
23 leveling off, and then drop, and then level off again,
24 because these things have accumulated in sediments,
25 soils -- the near surface soil responds quickly, the

1 deeper soil takes a lot longer to push back into the
2 atmosphere, but whenever you drop the atmosphere
3 concentration, you get something that drives it out of the
4 reservoir.

5 So we're probably seeing that. And in a way this
6 would argue then that we probably don't want to get rid of
7 it, because one way to see the impact of the reservoirs
8 emitting is to look at the receptors, because the
9 atmosphere is very hard to measure. The atmosphere is a
10 mechanism of transport, but very difficult to measure, so
11 probably we would see -- we would understand this better
12 and be able to track it, if we were still tracking these
13 compounds in humans.

14 PANEL MEMBER WILSON: Mike Wilson.

15 I am moving toward thinking that it's a smart
16 thing to do to prioritize the PCBs for some of the reasons
17 that people have been describing. And I guess where
18 I'm beginning to shift on this, I think I had some of the
19 original, sort of, feelings as the other Panel members did
20 about, you know, why are we looking at legacy substances.
21 But as I'm thinking about it and hearing from staff and
22 panel members, it seems that these are legacy substances
23 that provide important scientific information for what
24 we're trying to do today in three different ways. And I
25 think this may be is what the UCSF researchers are trying

1 to convey that, number one, they provide a point of
2 reference, as Asa has said, for some of the emerging
3 persistent bioaccumulative substances.

4 And that second, the PCB levels are relevant to
5 this question of cumulative impact and sort of integrated
6 risk assessment that we're trying to move toward, in sort
7 of new ways of thinking about risk, given that they're
8 still with us, and we're measuring emerging substances
9 with them.

10 And then third, the health effects that may be
11 resulting from thyroid effects -- impact on the thyroid or
12 thyroid development or what is it, as that being an
13 emerging health issue that also, you know, gets to this
14 cumulative impact problem.

15 But the health problems that are specific to
16 thyroid disruption or, you know, maybe Gina could talk
17 about this a little more, those are health issues of
18 concern. So I guess my tendency is that we would -- that
19 we would prioritize PCBs for purposes of the program.

20 PANEL MEMBER SOLOMON: Sounding like I'm in a
21 minority at this point, which is okay, we don't always
22 have to be unanimous. But I guess just to summarize, I'm
23 hearing a lot of good reasons that someone should be
24 biomonitoring for PCBs in some places and some studies,
25 but I'm still not hearing any good reasons why it has to

1 be the California Biomonitoring Program. CDC I don't
2 think is likely to drop the PCBs any time in the near
3 future.

4 And so there will be data looking at atmospheric
5 flux and, you know, for whatever research we wanted to do
6 on what's coming out of soil. And there will be data on
7 PCB levels in the U.S. population to track ongoing
8 declines or lack of declines. And I haven't seen any data
9 suggesting that the concentrations of PCBs in Californians
10 differs. I mean, the data I have seen suggests that, you
11 know, pretty similar to NHANES levels. And so unless --
12 I'm sorry Myrto left, because she might know something
13 that I don't know. But the data that I've seen from
14 California is pretty consistent with national data.

15 So I'm just not meeting in my own head the
16 criteria that I'd kind of set out for prioritizing
17 chemicals, which is, you know, that it's something where
18 we think that there are, you know, policy actions maybe
19 driving current, you know, trends, either up or down, we
20 sort of already know what policy actions did 30 years ago
21 and that they are driving a trend generally downward.

22 And I'm not seeing any compelling reasons why the
23 situation would be different in California than anywhere
24 else. And I totally agree actually with the concern
25 raised by the commenter, which is, you know, if I were in

1 New York State, I would for sure be biomonitoring for
2 PCBs. I mean, you know, with the situation like they have
3 in the Hudson River, they should be.

4 But in California, maybe not so much. So I'm not
5 totally opposed to it, as I said, but I think I may take a
6 principled stand and vote against prioritizing them, just
7 to sort of communicate those issues, but I also think that
8 it's a perfectly reasonable decision for our committee --
9 our Panel to vote to prioritize them.

10 CHAIRPERSON MORENO: Any further discussion on
11 this topic by Panel members?

12 Okay. If not, is there a recommendation from a
13 Panel member?

14 PANEL MEMBER WILSON: I'll make a motion -- Mike
15 Wilson. I will make a motion that the Panel prioritize
16 polychlorinated biphenyls for purposes of biomonitoring in
17 California.

18 CHAIRPERSON MORENO: Is there a second?

19 PANEL MEMBER QUINT: Julia Quint. I second the
20 motion.

21 CHIEF COUNSEL MONAHAN-CUMMINGS: Excuse me. This
22 is Carol. Could you also say whether you're including
23 metabolites and markers and all that stuff in the motion,
24 so we don't have to do it twice.

25 PANEL MEMBER WILSON: My apologize. Mike Wilson.

1 So I would move that the Panel prioritize polychlorinated
2 biphenyls and their metabolites for purposes of
3 biomonitoring in California. And to the --

4 MS. HOOVER: Okay, so this kind of is prefacing
5 our next topic.

6 PANEL MEMBER WILSON: Yes.

7 MS. HOOVER: And this is really just a point of
8 clarity, just to be clear, because we kind of know what
9 you mean when you say polychlorinated biphenyls, but when
10 we were confronting this issue of formatting and what goes
11 where, it just is easier for us if we have -- where is it
12 Lauren?

13 We have a phrase that we created where it's, so
14 it would be polychlorinated biphenyls, its metabolites,
15 other biomarkers, and relevant indicator chemicals. So
16 that way, it essentially gives the Program the leeway to
17 measure it in whatever way we choose, and then it's just
18 really transparent what we're including.

19 PANEL MEMBER SOLOMON: I thought you could only
20 include the ones on the CDC list, so wouldn't it be just
21 referencing prioritizing the designated PCBs as --

22 MS. HOOVER: Thank you, Gina, yes. Those that
23 are already designated, yeah.

24 PANEL MEMBER WILSON: Okay.

25 CHAIRPERSON MORENO: Dr. Wilson, are you

1 accepting that as your amended motion?

2 PANEL MEMBER WILSON: It sounds like
3 that's -- that we can't use the phrase of metabolites and
4 biomarkers and relevant indicators.

5 MS. HOOVER: Okay. Now, this is sort of a lawyer
6 question. Because actually the instruction that the Panel
7 has given us in the past is that anything you put on the
8 priority list, we are free to measure in any way that we
9 so choose. And this, again, it's actually prefacing our
10 next topic, and how we choose to represent the priority
11 list. So up 'til now what we've done is on the designated
12 list, we've included whatever CDC had or whatever the
13 Panel designated, and we had it split between, what we
14 called, parent chemical and target chemical for
15 measurement.

16 And the reason is because in some cases, CDC --
17 because it's -- it's a little bit complicated, because CDC
18 is a lab-based program. So they're naming things that
19 they're interested in, which is maybe the metabolite. But
20 what the public recognizes is the parent chemical. So we
21 have actually made it a practice to try to translate that
22 for the public, and actually show, not just this target
23 that no one has ever heard of, but include the parent if
24 it's known.

25 So we're actually trying to deal with this in a

1 more systematic way now, which is what the next item is,
2 and we actually had to move it to the end, because of
3 timing on the agenda.

4 So what we're proposing is that the appearance of
5 the priority list would match the appearances of the
6 designated list. Now, I don't know, Carol, do you have a
7 comment on if there's any legal issue with incorporating
8 that.

9 CHIEF COUNSEL MONAHAN-CUMMINGS: Well, the
10 definition of designated chemicals in the statute,
11 includes those substances that -- including chemical
12 families or metabolites, that are included on the federal
13 list.

14 So one would have to look at the federal list and
15 see if the metabolites or related chemicals are on there.
16 And if they are, then you can include that in your
17 priority. But if they're not -- as a priority. If
18 they're not, then you would have to designate those
19 additional ones first -- or recommend designating them,
20 and then include them as a priority.

21 Does that make sense?

22 PANEL MEMBER WILSON: Well, okay. It seems to
23 me, if I understand it right, that we can make this motion
24 that includes metabolites and biomarkers and relevant
25 indicators and then by definition you have to -- you could

1 constrain that to the list of designated PCBs.

2 CHIEF COUNSEL MONAHAN-CUMMINGS: You could work
3 that way.

4 PANEL MEMBER WILSON: Will that work?

5 CHIEF COUNSEL MONAHAN-CUMMINGS: Yeah.

6 PANEL MEMBER WILSON: So I will move that the
7 Panel prioritize polychlorinated biphenyls, their
8 metabolites, other biomarkers and relevant indicator
9 chemical for purposes of the Biomonitoring Program.

10 CHAIRPERSON MORENO: Is that satisfactory?

11 CHIEF COUNSEL MONAHAN-CUMMINGS: Um-hmm.

12 CHAIRPERSON MORENO: So that's your -- thank you.
13 That's your amended motion. Dr. Quint, are you okay with
14 that?

15 PANEL MEMBER QUINT: Yeah. Julia Quint. I
16 second that amended motion.

17 CHAIRPERSON MORENO: Make sure everyone is clear
18 on that.

19 All right. And any further discussion on the
20 motion?

21 If not, I'm going to go by roll call again and
22 I'll start to my right.

23 Dr. Kavanaugh-Lynch?

24 PANEL MEMBER KAVANAUGH-LYNCH: I've been
25 convinced by Gina, so I'm going to vote no.

1 CHAIRPERSON MORENO: Dr. Quint?

2 PANEL MEMBER QUINT: Yes.

3 CHAIRPERSON MORENO: Dr. Bradman?

4 PANEL MEMBER BRADMAN: Yes.

5 CHAIRPERSON MORENO: Dr. Solomon?

6 PANEL MEMBER SOLOMON: No.

7 CHAIRPERSON MORENO: Moreno yes.

8 Dr. Luderer?

9 PANEL MEMBER LUDERER: Yes.

10 CHAIRPERSON MORENO: Dr. Wilson?

11 PANEL MEMBER WILSON: Yes.

12 CHAIRPERSON MORENO: Dr. McKone?

13 PANEL MEMBER MCKONE: Yes.

14 CHAIRPERSON MORENO: All right, so that passes
15 six to two.

16 Thank you.

17 And at this point, there was a second
18 discussion -- presentation, discussion on benzophenone-3.

19 PANEL MEMBER BRADMAN: I made a suggestion
20 earlier that we hold off on that. Does that have to be a
21 formal motion or is that just a decision on the --

22 CHAIRPERSON MORENO: No, I think you can give --
23 make a recommendation without -- that doesn't involve
24 prioritizing this chemical.

25 DR. ZEISE: We can bring back to you, as a group,

1 sunscreens and do some additional analyses to help you
2 with looking across the various chemicals that are used in
3 sunscreens.

4 PANEL MEMBER BRADMAN: Okay, that would be great.

5 CHAIRPERSON MORENO: And I just want to make sure
6 that other Panel members have an opportunity to add any
7 other comments or suggestions to this general direction
8 from the Panel.

9 PANEL MEMBER WILSON: You mean with benzophenone?

10 CHAIRPERSON MORENO: Yes.

11 PANEL MEMBER WILSON: Yeah, I support Asa's
12 proposal.

13 CHAIRPERSON MORENO: Any other comments?

14 So we have consensus agreement on that.

15 Thank you.

16 All right, thank you very much for the
17 presentation. Thank you for bringing that information to
18 the Panel's attention.

19 So now we're going to move forward with the
20 agenda, and we're going to have a presentation and
21 discussion on designated and priority chemical lists.

22 Sara Hoover, Chief of the Safer Alternatives
23 Assessment and Biomonitoring Section of OEHHA will make
24 this presentation.

25 (Thereupon an overhead presentation was

1 Presented as follows.)

2 MS. HOOVER: So you had a preview just now of
3 what this is about. Like I said, we had originally done
4 some translation of the CDC list in order to bring clarity
5 to the public. We've now revisited that and I'm going to
6 talk about that.

7 Can you go to the next slide.

8 --o0o--

9 MS. HOOVER: So the goals of this agenda item are
10 first to just inform you of some additions to the
11 designated list, and to just go over some of these things
12 that we've been struggling with in order to create a
13 clearer format for the designated and priority lists. And
14 we just want to discuss it at this meeting, get your input
15 from the Panel and the public on the proposed format, and
16 wrestle with some of these issues. And then there might
17 be some substantive issues that we would need to bring
18 back to you in order to implement the format, which would
19 happen at a later meeting.

20 --o0o--

21 MS. HOOVER: So keep going. Just put them all on
22 there.

23 So if chemicals are included in the CDC studies
24 under the National Report on Human Exposure to
25 Environmental Chemicals Program, then they're

1 automatically designated under the California program.
2 And there's a couple additions under this criteria.

3 Carbaryl is a slightly special case. This
4 chemical was actually overlooked. And the reason that
5 that happened is because it was originally reported --
6 1-naphthol is the same as 1-hydroxynaphthalene.

7 In the second report, CDC actually reported
8 results on 1-naphthol as a metabolite of carbaryl under
9 pesticides. In later reports, they only reported on
10 1-hydroxynaphthalene, but did reference both naphthalene
11 and carbaryl as parent chemicals. So carbaryl actually
12 has been included all along, it just was overlooked,
13 because it was under PAHs. So we're moving forward to put
14 that on the designated list.

15 In terms of parabens, there's a new CDC
16 publication on butyl, ethyl, methyl, and propyl paraben.
17 And so that is being biomonitored by CDC under this
18 program, and so it falls under the designated
19 classification, so we'll be adding those to the list as
20 well.

21 --o0o--

22 MS. HOOVER: So this is also partially what
23 prompted this item was the fact that the CDC issued their
24 fourth report. And they have a very nice -- they've now
25 kind of taken the same approach in their table of

1 contents, where they're actually trying to list parent
2 compounds in the metabolites or other biomarkers that
3 they're using underneath that.

4 So we decided to try to adopt a similar format
5 with some variation. Now, in some cases the Panel have
6 added things to the list that CDC is not monitoring. So
7 obviously we're going to retain the Panel designation for
8 those. And other categories or titles of categories that
9 CDC dropped, we felt like actually provided information,
10 so we didn't drop them.

11 Now, as I mentioned -- and this may be -- we
12 discussed this with our lawyer ahead of time, but we may
13 need more discussion about this. We're proposing to
14 format the designated and priority chemical lists in the
15 same way. So as I mentioned previously, the designated
16 list showed parent and target. The priority list just
17 showed the chemicals. We noticed in some cases, and I'll
18 show you an example of this, things got moved over based
19 on a Panel discussion, and it may present some lack of
20 clarity down the line.

21 So we were thinking that it would be simpler and
22 clearer if something was designated -- or something was
23 moved to priority that we would move it over as it
24 appeared on the designated list and show it in the same
25 way on the priority list. But there's issues -- there's

1 informational purposes.

2 Next slide.

3 --o0o--

4 MS. HOOVER: So again, the changes under the new
5 format is that things would be in one column instead of
6 two. The metabolites or other biomarkers and other
7 indicator-chemicals would be indented under the parent.
8 The organization would generally follow the CDC Fourth
9 report. So they've now regrouped and moved chemicals
10 around. They have a category called Disinfection
11 Byproducts, where they've moved the trihalomethanes. They
12 moved p-Dichlorobenzene under VOCs instead of other
13 pesticides.

14 So some of these issues you might want -- you
15 might say actually that is a decrease in information and
16 we wouldn't want to do that, and that's the kind of input
17 we'd like to hear.

18 Next slide.

19 --o0o--

20 MS. HOOVER: Some exceptions, as I mentioned.
21 CDC has PBDEs listed differently in their index. And we
22 would retain the way that we have it under the SGP
23 designation for brominated and chlorinated organic
24 compounds used as flame retardants. And then there's
25 other categories that were never included by CDC. So, of

1 course, those are retained.

2 A few other exceptions that we decided on.
3 Again, this is just proposed. We thought it was useful to
4 retain tobacco smoke as a heading to give clarity about
5 why cotinine is important, for example.

6 DEET. They moved DEET out of pesticides and
7 listed it singly. And we felt that it was more
8 informative to keep it in the pesticides category. And if
9 you've had a chance to peruses the new format, we actually
10 have a large section where all the different pesticides
11 are groups, and there's -- all the different pesticides
12 are grouped and categories underneath that are retained.

13 We also thought that given the type of lists it
14 was, it would be useful to retain certain common names
15 that are really widely used, like carbon tetrachloride.
16 They changed it tetrachloromethane with carbon tet in
17 parenthetical.

18 You know, these are minor issues, but that's some
19 of the things we've been looking at.

20 Next slide.

21 --o0o--

22 MS. HOOVER: So there's a bunch of things that
23 come up, when we started to try to do that. So one of the
24 examples I showed on the previous slide - I actually had
25 that section - was PAHs. So in the discussion of PAHs

1 that the Panel had in prioritizing certain PAHs, you were
2 referencing a table that the laboratory had provided about
3 other chemicals that the laboratory can measure. And so
4 the chemicals that were actually named were the
5 metabolites and not the parents. And that's what got put
6 on the priority list.

7 However, if you look at the designated list, for
8 example hydroxybenzo[*a*]pyrene is listed under
9 benzo[*a*]pyrene as the parent, which seems like useful
10 information to me. Now, this is an example of a
11 substantive issue that we'd have to bring back to you and
12 get clarification about what you meant when you said we
13 want you to put hydroxybenzo[*a*]pyrene on the list.

14 Another question that we're wrestling with a
15 little bit is what if the metabolite itself is really the
16 chemical of concern to highlight. And we had discussions
17 about this with you before. For example -- and this is
18 hypothetical, because this is not on the list. But for
19 example if you had a chemical like 3,4-dichloroaniline
20 that you're interested in, as a metabolite of other
21 chemicals, how should that be represented in this new
22 format?

23 We couldn't just have a hanging indent with
24 nothing above it. Would we have to create a category? Or
25 should we show it aligned left and just footnote it as an

1 that CDC shows it is they indented under the overall
2 heading and that's how we showed it.

3 Next slide.

4 --o0o--

5 MS. HOOVER: So as I said, in terms of follow up,
6 we just wanted to get your thoughts. Do you like the new
7 format? I think it's cleaner, but it does present other
8 problems. We would take back your input and try to come
9 back with something. And if there's some substantive
10 change that would actually make a change to the priority
11 list, we'd have to bring that back to you and get
12 clarification from you.

13 That's it.

14 CHAIRPERSON MORENO: Thank you, Sara.

15 Questions for Sara?

16 PANEL MEMBER MCKONE: Can you go back to your
17 first slide, there's something there. I'm sorry, I guess
18 it's the next one.

19 Oh, yeah. I knew it was on one of the slides.
20 So in the naphthol -- or the hydroxynaphthalene that's
21 associated both with naphthalene and carbamate, has CDC --

22 MS. HOOVER: Carbaryl.

23 PANEL MEMBER MCKONE: I'm sorry, carbaryl. Have
24 either your group or CDC assessed what relative fraction
25 is attributable to each one in maybe like the median

1 range. I know it's highly variable. But how big of a
2 contributor is either one to the 1-naphthol?

3 MS. HOOVER: I don't know the answer to that
4 question. I don't know if anyone else in the audience
5 does.

6 It would be interesting to know whether it's like
7 only one or two percent additional amount or if when you
8 add carbaryl you may actually be half and half.

9 PANEL MEMBER BRADMAN: Tom, I'm sorry, could you
10 phrase that again, because I might have an answer to that.

11 PANEL MEMBER MCKONE: So the question is when you
12 look at a biomonitoring sample and a range of them and you
13 see 1-hydroxynaphthalene, and you know it's coming from
14 two, do we have any sense of what the relative
15 contributions are, I mean, particularly in the mid-range?

16 PANEL MEMBER BRADMAN: The answer is yes. If I
17 remember correctly, we have a paper submitted on this
18 right now actually. Basically, there's 1-naphthol and
19 2-naphthol. And 1-naphthol and 2-naphthol come from
20 naphthalene in approximately equal proportions. And
21 1-naphthol comes from carbaryl also.

22 So if you look at the ratio of 1-naphthol to
23 2-naphthol, You get some indication of the source. And
24 there's an occupational setting that defined a ratio
25 greater than two as indicating, at least in that case, an

1 occupational or some external source of carbaryl.

2 So I apologize, but to give an example from
3 Salinas, you know, we found, in our population, we looked
4 at the ratio of 1-naphthol to 2-naphthol in our
5 population, also in the NHANES data, and we found an
6 elevated frequency of ratios over two, for example, in our
7 population, where carbaryl is used.

8 So you can gain some insight on exposure to
9 carbaryl by looking at that ratio. And, you know, it may
10 be specific to agricultural areas or maybe even within
11 agricultural areas depending on the crop use. For
12 example, we found that ratio higher when people were
13 working on certain crops.

14 So there is some information there, but it has to
15 be teased out of the data. Does that --

16 PANEL MEMBER MCKONE: But there is a way to do
17 it?

18 PANEL MEMBER BRADMAN: Yes.

19 PANEL MEMBER MCKONE: So in other words, if I see
20 20 nanograms or whatever per liter of urine of 1-naphthol
21 and 10 of 2-naphthol, probably the -- you would expect it
22 to be closer to one.

23 PANEL MEMBER BRADMAN: Right. Or you could look
24 at California and say, you know, 30 percent of the
25 population has a ratio over two. Whereas, in NHANES it's

1 10 percent. So that suggests and additional source. Or
2 you can look at an individual population.

3 PANEL MEMBER MCKONE: Thank you.

4 PANEL MEMBER QUINT: Julia Quint.

5 That seems to bring up another interesting
6 question though, is that are the data useful, in terms of,
7 you know, trying to get at exposure, if you don't measure
8 both?

9 MS. HOOVER: Yeah. No, I didn't talk about that,
10 but they specifically talk about you need to measure both.
11 You know, they talk about them together and they talk
12 about 1- and 2-hydroxynaphthalene.

13 PANEL MEMBER QUINT: So we list one, but it's --

14 MS. HOOVER: Well, it's actually -- that actually
15 is a question that I didn't ask, but it kind of is an
16 interesting question under carbaryl. 1-hydroxynaphthalene
17 is the metabolite, but you kind of need
18 2-hydroxynaphthalene. We didn't actually -- on your
19 example, we show just 1-hydroxynaphthalene indented under
20 carbaryl. But could that be misleading, you know, because
21 you kind of need 2-hydroxynaphthalene.

22 PANEL MEMBER QUINT: Exactly, that's my question.

23 MS. HOOVER: So that my fall in the other
24 biomarker or relevant indicator chemical category.

25 PANEL MEMBER QUINT: Exactly.

1 MS. HOOVER: So we could include it.

2 PANEL MEMBER WILSON: Is there anything, you
3 know, related to this that we need to solve today?

4 MS. HOOVER: Related to this?

5 PANEL MEMBER WILSON: Yes.

6 MS. HOOVER: No, this is just interesting side
7 conversation.

8 (Laughter.)

9 PANEL MEMBER WILSON: Oh, no, not what they're
10 talking about, but your question.

11 (Laughter.)

12 MS. HOOVER: Okay, the larger questions of
13 format?

14 PANEL MEMBER WILSON: Yes.

15 MS. HOOVER: I mean, I guess I want to hear your
16 opinion about -- I mean, you've seen the old -- I didn't
17 actually provide you copies of the old list, but you've
18 seen the old lists. And there's some real problems with
19 the formatting and lack of clarity and lots of lines and
20 white space. And it's just not -- it's not a really handy
21 format. So I guess that's one question is, do you like
22 the new format? Is it worth it to pursue some of these
23 picky little issues?

24 Now, in terms of the picky little issues, what I
25 was going to propose, unless -- if you have a specific

1 preference, you know, like I think you should do X, Y, or
2 Z about metabolites. I mean, that's one of my interesting
3 points is, you know, what do you do if you want to
4 highlight the metabolite as being of concern? Or do you
5 want to include benzo[a]pyrene, if you've listed
6 hydroxybenzo[a]pyrene. I would like to hear your opinions
7 on that today. That would be very helpful.

8 In terms of the picky little issues, I've made a
9 certain proposal in the attached. You could take a look
10 at it, and we could come back with, you know, any
11 substantive things that you really need to solve.

12 PANEL MEMBER SOLOMON: Okay. Gina Solomon.

13 I like the new list. I like the new format. I
14 think this is going to be much more user-friendly and just
15 easier for non-chemists to understand. So I think it is
16 worth dealing with these problems.

17 I think it's a little tricky to sort of run
18 through all the answers to all of these things. But I
19 think that when a metabolite has been named, for example,
20 the, you know, benzo[a]pyrene metabolite, I think it is
21 worth listing the parent chemical. And if that requires
22 bringing a bunch of these back to the Panel, sobeit, I
23 think it's something that we could address fairly quickly,
24 because it appears that that would have been just an
25 oversight.

1 And when the metabolite is the chemical of
2 concern, I think actually that's the case with a lot of
3 chemicals, is that the metabolite is the active -- the
4 biologically active chemical. I don't think that that
5 precludes listing the parent chemical. I would, you know,
6 in this hypothetical 3,4-dichloroaniline example, I would
7 suggest listing the parents, you know, even if it's
8 several different parent chemicals, and you, know noting
9 that they all are metabolite -- you know, the reason that
10 they -- you know, a necessary footnote, that the reason
11 that they are listed is because they are metabolized to
12 this active metabolite of concern.

13 The nonspecific metabolites are tricky, and I
14 can't think really of a better way of dealing with them
15 than just sort of lumping them into some kind of
16 non-specific metabolite category.

17 MS. HOOVER: I mean, actually we started -- you
18 know, Gail started to do a bunch of research in certain
19 sections. And, you know, it's possible to parse them out.
20 It can be complicated. And that's part of the problem is
21 that we don't -- and even with this hypothetical example.
22 The only reason I raise this is that typically we would be
23 bringing you forward something where we would know the
24 parents. But if just the metabolite was sort of divorced
25 from the parents and considered, because we talked about

1 doing that. That was at a previous SGP meeting, where we
2 wouldn't be bringing the parents. We would be bringing
3 the metabolite, so that's why I was focusing on that.

4 I think, in general -- I mean, I agree with you.
5 I think that it's important to have the parent, if known
6 and easily accessible. I'm wondering, and this may be is
7 a legal question, but we could also indicate -- it's
8 almost like parents including, but not limited to. You
9 know, it's -- because we're not necessarily going to have
10 picked them all up. So that's my point is that if it's
11 the metabolite that's of concern, you don't really want a
12 subset of the parents, you want the parents that lead to
13 that. But we might not have sufficient resources to
14 figure that out completely. That's all I'm saying.

15 But maybe we could just take care of that in a
16 footnote, you know, just indicate that these are the
17 parents we've identified and their may be more, something
18 like that.

19 CHAIRPERSON MORENO: Dr. Quint.

20 PANEL MEMBER QUINT: Julia Quint.

21 I was just actually going to say exactly what
22 Gina said. I think this is much improved. I like it.
23 It's informative. It's educational even. I think the
24 isomers present a little bit of -- you know, you have to
25 footnote that or something, because they need maybe to be

1 indented, but then it kind of --

2 MS. HOOVER: It doesn't fit our scheme.

3 PANEL MEMBER QUINT: It's not a metabolite, so
4 that --

5 MS. HOOVER: Well, that might be another way of
6 dealing with it, because I mean it makes more sense to me
7 from a logical user-friendly point of view to just have
8 them indented.

9 PANEL MEMBER QUINT: Exactly.

10 MS. HOOVER: And maybe we just need to footnote
11 it, and say these are isomers. You know, these are
12 related isomers.

13 PANEL MEMBER QUINT: Right.

14 MS. HOOVER: And so then our indent may have
15 multiple means. We were trying to avoid that, but that
16 would probably be the most practical solution.

17 PANEL MEMBER QUINT: Yeah. And I also like where
18 you differed from CDC with the tobacco smoke, because I
19 think, you know, your instincts are right. We want this
20 to be accessible information for the public, and not, you
21 know, a bunch of weird names of chemicals that nobody
22 understands. You know, as much education as we can confer
23 onto this process, I think the better off we are, and it's
24 appropriate. So I like the decisions you've made where
25 they didn't exactly fit what CDC was doing.

1 CHAIRPERSON MORENO: Dr. Luderer or Dr. Wilson.

2 PANEL MEMBER WILSON: I would concur with that.
3 I think the way you're going about it is exactly right,
4 that making it information rich and useful to the public
5 and to, you know, community-based organizations and to
6 businesses and so forth that are interested in this
7 information, and, you know, providing the taxonomy back to
8 the parent compound, and that might be the more common
9 name, as Julia is, you know, stating.

10 And maybe -- and I think it makes sense, as
11 you're saying, that there may be other parent compounds.
12 And that could go in a footnote. And, you know maybe the
13 specific metabolites -- well, I guess that's -- I think
14 that would answer it for me. And I think that would be
15 the direction we would want to go.

16 So I think the direction is right. I think it's
17 a smart approach. I like it.

18 CHAIRPERSON MORENO: This is Ed Moreno.

19 I want to take this opportunity to open it up to
20 the public, and then we'll conclude this after public
21 comment with final recommendations from the Panel.

22 So, Amy, were there any Emails coming in from
23 people on the webcast?

24 MS. DUNN: No email.

25 CHAIRPERSON MORENO: And anyone in the public

1 here today wishing to comment?

2 Okay. I don't see any. So I'll close the public
3 comment -- here's someone from the public.

4 (Laughter.)

5 MS. HOOVER: I have actually one other question.
6 You guys considered p-Dichlorobenzene as a pesticide, I
7 believe, is that right Gail? Yeah. And now they moved it
8 under VOCs. Do you have a problem with that? Should it
9 be retained under pesticides? Any opinions on that
10 specific one, because you actually discussed it as a
11 pesticide and prioritized it in that -- was it prioritized
12 or designated it? Prioritized.

13 Any comments on that one or do you have -- I
14 mean, we could also footnote this is also used as a
15 pesticide. You know we could do something like that.

16 CHAIRPERSON MORENO: Dr. Quint.

17 PANEL MEMBER QUINT: This is truly personal.
18 Julia Quint. I don't think of it -- I mean, volatile
19 doesn't do much for me. Whereas, I think of it more as a
20 pesticide, you know. But that's just any orientation. I
21 mean, there are lots of volatile organics, and I don't
22 think of -- you know, so it is volatile and it could be
23 there, but I think it's more useful, in terms of what we
24 know about it as a pesticide, just personally.

25 CHAIRPERSON MORENO: Okay, I just want to

1 comment. We have closed public comment, so we'll continue
2 with the Panel discussion.

3 Dr. Solomon.

4 PANEL MEMBER SOLOMON: I think, in general, the
5 category VOCs doesn't mean a lot to many people, and it's
6 a whole sort of garbage bag of different chemicals. And
7 this would be a much bigger project, but it would be
8 actually very interesting to sort of divide those up by
9 end-use, cleaning products, you know, products in, you
10 know, other cosmetics, products in chemicals in gasoline,
11 degreasers, blah, blah, blah.

12 Short of doing that and of putting
13 p-Dichlorobenzene into a sort of disinfectant category or
14 something along those lines, I would tend to advocate
15 leaving it in with pesticides, even though a lot of people
16 don't think of toilet bowl deodorizers or
17 mothball -- well, I guess mothballs people think of as
18 pesticides. But people wouldn't think that a toilet bowl
19 deodorizer is a pesticide so much, but it is.

20 MS. HOOVER: Okay, so based on that, I'll move it
21 back to other pesticides in the next list for now, and
22 consider the idea of adding more richness of information
23 to some of the other categories.

24 CHAIRPERSON MORENO: Okay. Any other comments or
25 recommendations? It sounds like the Panel likes the

1 format. You've gotten some recommendations on this.

2 Anyone else?

3 No.

4 Okay. Thank you for that presentation. Thank
5 you for trying to help us clean up and better representing
6 the list to the public and making it more useful.

7 Okay, we're going to move on to -- actually, I'm
8 going to introduce Dr. Lauren Zeise, who is Chief of
9 Reproductive and Cancer Hazard Assessment Branch, Office
10 of Environmental Health Hazard Assessment, who is going to
11 summarize the Panel's recommendation from Today.

12 DR. ZEISE: Hi. So we started off the morning
13 getting an update on the budget, the collaborations, lab
14 progress for the Program. And we heard back from the
15 Panel continued support and encouragement for our
16 collaborations with the Environmental Health Tracking
17 cohorts, CYGNET, the MIEEP cohorts. We also heard
18 continued encouragement and interest for an occupational
19 cohort, particularly for firefighters. And there was some
20 discussion of the advantages of using a unionized
21 workforce.

22 We heard an offer of assistance from Mike Wilson
23 and Ulricke Luderer to help locate a cohort. And we also
24 heard of the useful -- again, from the Panel, of the
25 usefulness of a diesel marker for firefighters and for

1 truckers. So again, reinforcing the idea of focusing on
2 getting a good marker for diesel.

3 With regard to the lab reports, we heard very
4 positive feedback for the current path of effort. Various
5 suggestions and comments were made, including the
6 importance of QA/QC for QA/QC of getting blanks in the
7 field. The importance of considering pregnancy status.
8 And, of course, we talked a lot about that in the
9 afternoon. The continued encouragement to develop methods
10 to analyze newer flame retardants.

11 And then the Committee by unanimous vote
12 designated Pendimethalin, its metabolites, and other
13 biomarkers and relevant indicator chemicals.

14 And then there was deliberation -- oh, so as
15 we think about this particular compound, there's a lot of
16 discussion on what would be important in considering the
17 priority status of it. And that would include
18 consideration of bioavailability, plausible exposure
19 pathways, that would include things like the aquatic food
20 web, food residues, potential residential exposure,
21 including through dust.

22 So we had an extensive discussion of the MIEEP
23 study, in progress on the MIEEP study, and we received a
24 variety of suggestions on the study, on recruitment,
25 questionnaire, in-person interview, educational materials,

1 and report-back. Some of the materials are a lot further
2 along. And so the Program will take under advisement the
3 comments and probably make limited changes to those things
4 that are in the IRB process.

5 For the other items, there's much more
6 opportunity for more extensive taking into account of the
7 Committee's comments.

8 At a future meeting, we again agreed and
9 reiterated that we would discuss clinical reference
10 values. And that would include both methodologies as
11 potentially some examples. Don't know if it will be as
12 early as May, but that will be coming back to the
13 Committee.

14 The Committee voted to add PCBs to the priority
15 chemical list by a vote of six yes and two no. The
16 program will bring chemicals and sunscreen back to the
17 Panel for consideration. Benzophenone-3 will come back,
18 along with possibly other chemicals and sunscreen and look
19 at the whole issue of chemicals and sunscreen in a more
20 holistic way.

21 And in bringing back benzophenone, we'll try to
22 address this overall issue of estrogenic load, but it will
23 take some thinking to see how we might go about doing
24 that.

25 And regarding the list and its new format, we

1 heard that the Panel liked the new format. And so we'll
2 proceed along with that. The direction is right,
3 para-Dichlorobenzene went back to the pesticides. And in
4 bringing the new format back and implementing, we'll
5 probably have to come back to the Panel with a variety of
6 substantive changes. So we'll go through the process of
7 approaching the list one more time before finalizing it.

8 And so I'd like to thank the Panel for all their
9 hard work, and Dr. Moreno for your good leadership, and
10 wish you well. And I'd like to thank the staff for all
11 their hard work as well.

12 CHAIRPERSON MORENO: Thank you, Dr. Zeise. So
13 with that, that concludes the agenda.

14 Before we adjourn, I want to let the public know
15 that the meeting presentations, the transcript, and the
16 summary of our Panel recommendations will be available on
17 the biomonitoring website as soon as staff has them
18 available. And a notice will be sent to the biomonitoring
19 listserv when those materials are available for public
20 viewing.

21 I also want to announce that the next meeting is
22 planned for May 24th, 2010 from 10 a.m. to 5 p.m. And
23 that will be scheduled in the Bay Area, location to be
24 determined. And that is it, so this meeting is adjourned.

25 Thanks.

1 (Thereupon the California Environmental
2 Contaminant Biomonitoring Program, Scientific
3 Guidance Panel meeting adjourned at 4:31 p.m.)
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

