

CALIFORNIA ENVIRONMENTAL CONTAMINANT BIOMONITORING PROGRAM

(BIOMONITORING CALIFORNIA)

SCIENTIFIC GUIDANCE PANEL MEETING

CONVENED BY:

OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT

CALIFORNIA DEPARTMENT OF PUBLIC HEALTH

STATE OF CALIFORNIA

CALEPA BUILDING

SIERRA HEARING ROOM, 2ND FLOOR

1001 I STREET

SACRAMENTO, CALIFORNIA

WEDNESDAY, MARCH 6, 2019

10:03 A.M.

JAMES F. PETERS, CSR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

A P P E A R A N C E S

PANEL MEMBERS:

Megan R. Schwarzman, M.D., M.P.H., Chair

Carl Cranor, Ph.D., M.S.L.

Oliver Fiehn, Ph.D.

Eunha Hoh, Ph.D., M.S.E.S.

Ulrike Luderer, M.D., Ph.D.

Thomas McKone, Ph.D.

Penelope (Jenny) Quintana, Ph.D., M.P.H.

Veena Singla, Ph.D.

José R. Suárez, M.D., Ph.D., M.P.H.

OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT:

Lauren Zeise, Ph.D., Director

Allan Hirsch, Chief Deputy Director

Russ Bartlett, M.P.H., Senior Environmental Scientist

Sara Hoover, M.S., Chief, Safer Alternatives Assessment
and Biomonitoring Section, Reproductive and Cancer Hazard
Assessment Branch

Martha Sandy, Ph.D., Chief, Reproductive and Cancer Hazard
Assessment Branch

CALIFORNIA DEPARTMENT OF PUBLIC HEALTH:

Robin Christensen, M.S., Environmental Health
Investigations Branch

Nerissa Wu, Ph.D., Chief, Exposure Assessment Section,
Environmental Health Investigations Branch

A P P E A R A N C E S C O N T I N U E D

CALIFORNIA DEPARTMENT OF TOXIC SUBSTANCES CONTROL:

Anne Cooper-Dohert, Ph.D.

June-Soo Park, Ph.D.

I N D E X

	PAGE
Welcome	
Lauren Zeise, PhD, Director, Office of Environmental Health Hazard Assessment (OEHHA)	2
Overview of the Meeting	
Meg Schwarzman, MD, MPH, Chair, Scientific Guidance Panel (SGP)	3
Program Update	
Presentation: Nerissa Wu, PhD, MPH, California Department of Public Health (CDPH)	6
Panel Questions	25
Public Comment	35
Panel and Audience Discussion	35
Chemicals in the Indoor Environment: Implications for Human Exposure and Health	
Presentation: Veena Singla, PhD, SGP Member	56
Panel and Audience Questions	74
Afternoon Session	84
Non-targeted Screening of Marine Organisms and Drinking Water: Newly Identified Persistent Pollutants	
Presentation: Eunha Hoh, PhD, MSES, SGP Member	84
Panel and Audience Questions	101
Persistent Organic Pollutants: Metabolic Effects and Dietary Interventions to Reduce Body Burdens	
Presentation: José Suárez, MD, PhD, MPH, SGP Member	114
Panel and Audience Questions	135
Shaping Program Priorities - Input from Panel Member Research	
Panel and Audience Discussion	149
Wrap-up	190
Open Public Comment	189
Wrap-up and Adjournment	195
Reporter's Certificate	196

P R O C E E D I N G S

1
2 MR. BARTLETT: Good morning. I am Russ Bartlett
3 and I'd like to just gather everyone here.

4 Thank you for joining me -- thank you for joining
5 us today. So today's meeting is available via webcast.
6 So as a benefit to the folks on the webcast, please speak
7 directly into the microphone, and introduce yourself
8 before speaking.

9 The materials for the meeting were provided to
10 SGP members and posted on the Biomonitoring California
11 website. There are a small number of copies and meeting
12 materials available at the table near the door. We will
13 be breaking today at 12:10 for lunch, and then we'll have
14 another short break at roughly 3:00 p.m.

15 Restrooms are located, if you go back towards the
16 way you came, just turn left at the staircase all the way
17 down the hall on your left. And in the event of an
18 emergency, our emergency exit is to my right at the back
19 of the door. And that will put us back onto 10th Street.

20 Thank you. And then at this point, I will
21 introduce the Director of the Office of Environmental
22 Health Hazard --

23 MS. HOOVER: We're going to pause.

24 MR. BARTLETT: Okay. My apologies. So we'll
25 still going to be on a short pause and we'll start the

1 meeting shortly.

2 Thank you.

3 (Off record: 10:04 a.m.)

4 (Thereupon a recess was taken.)

5 (On record: 10:06 a.m.)

6 MR. BARTLETT: Welcome once again. And at this
7 point, I'd like to introduce the Director of the Office of
8 the Health Hazard Assessment, Lauren Zeise.

9 DIRECTOR ZEISE: Hi. Welcome, everyone on this
10 blustery day. I know that some people are -- there's been
11 a lot of traffic and accidents, and so it's great that we
12 are just starting a little bit late and all together. I'd
13 like to welcome the Panel and the audience to this meeting
14 of the Scientific Guidance Panel for Biomonitoring
15 California. Thank you all in your -- in advance for your
16 participation and sharing your expertise.

17 So just recapping what occurred at our last
18 meeting, which was November 8th, 2018. After an update on
19 ongoing program activities, we delved into community
20 exposures to metals. That was the focus of our meeting.
21 Program staff provided detailed presentations on metals --
22 metal results so far from the Biomonitoring Exposure
23 Study, and the Asian Pacific Islander Community Exposures
24 Project.

25 In the afternoon representatives from county

1 health departments in Northern and Southern California
2 presented their perspectives on exposure to metals in
3 their communities. We heard about successful approaches
4 to community engagement, as well as some of the challenges
5 they face in addressing community exposure concerns.

6 So a summary of the input from the November
7 meeting, along with a complete transcript is posted on the
8 November SGP meeting page, biomonitoring.ca.gov.

9 So today, we're going to be examining our Program
10 priorities in depth. The Panel and audience discussion of
11 this topic will be informed by a detailed Program update,
12 as well as presentations from our newest Panel members on
13 their research.

14 So you'll hear more about today's agenda from
15 Meg, and I'll pass over to Meg Schwarzman our Chair.

16 CHAIRPERSON SCHWARZMAN: Thank you very much.
17 Having left home in Oakland four hours ago, I didn't
18 really think I'd be waltzing in at the last minute. But
19 when your train has mechanical problems, your train has
20 mechanical problems, and there's nothing to be done.

21 But I'm glad to be here, and I'm glad to see all
22 of you. So a brief overview of the meeting. The point of
23 today's meeting is to think deeply about Program
24 priorities, both short-term and longer term. So we will
25 get our Program update and then provide some input on

1 priorities for the Program's upcoming submission to the
2 CDC funding opportunity for State biomonitoring programs.

3 We'll hear, which I'm really excited about, three
4 presentations from our newest Panel members, as Lauren
5 just mentioned, Veena Singla, Eunha Hoh and José Suárez on
6 some of their research, particularly targeting what's
7 relevant to Biomonitoring California. And we'll have time
8 for Panel questions, and also a discussion following each
9 presentation.

10 In the afternoon in particular, we have an hour
11 set aside to reflect on those presentations in light -- or
12 Program priorities in light of what we've heard from Panel
13 members about their research. And the last item of the
14 day is an open public comment period.

15 So if anyone wants to speak, other than the
16 Panel, during either of the more formal comment periods,
17 the Program update comment period or the open public
18 comment period in the afternoon, please fill out the
19 comment cards -- are they on the back?

20 MR. BARTLETT: On the table.

21 CHAIRPERSON SCHWARZMAN: On the table near the
22 door. Okay -- and turn it into Russ Bartlett. There he
23 is.

24 And in other question and comment periods, we'll
25 have them be more open, please either come to the podium

1 or raise your hand, and I will call on you.

2 And for the benefit of the transcriber, please
3 clearly identify yourself before you speak and make sure
4 your name and affiliation are on the sign-in sheet for an
5 accurate transcript.

6 If you're joining the meeting via webcast and
7 want to provide comments, please do so via email at
8 biomonitoring@oehha.ca.gov. That's biomonitoring@
9 O-E-H-H-A .ca.gov, and we will read allowed and paraphrase
10 as necessary any relevant comments. And I want to now
11 introduce Nerissa who -- Nerissa Wu -- no.

12 MS. HOOVER: We're going to pause.

13 CHAIRPERSON SCHWARZMAN: Okay. We're going to
14 pause for just a sec.

15 (Off record: 10:11 a.m.)

16 (Thereupon a recess was taken.)

17 (On record: 10:24 a.m.)

18 CHAIRPERSON SCHWARZMAN: Try again. Russell, is
19 the webcast on again?

20 MR. BARTLETT: Mics are on.

21 CHAIRPERSON SCHWARZMAN: Okay. Then we will
22 resume. And I will introduce and welcome Nerissa Wu, who
23 is Chief of the Exposure Assessment Section in the
24 Environmental Health Investigations Branch at the
25 California Department of Public Health.

1 And she's the overall lead for Biomonitoring
2 California, and she will give us an update on Program
3 activities.

4 DR. WU: Hi, everyone. Good morning. I am so
5 sorry that it took us so long to get here. Best efforts.
6 And I'm glad I didn't miss anyone's talk, because I'm
7 really excited to hear from our Panelists today. It's
8 kind of a treat for us to be able to hear about your work.

9 --o0o--

10 DR. WU: But I'm going to start with the Program
11 update, starting with the California Regional Exposure
12 Study, or CARE, our region by region statewide
13 surveillance project. There are some details about the
14 study here on the slide, but I'm actually not going to
15 spend a lot of time going over the protocol, since we've
16 done that quite a bit in previous meetings, but happy to
17 answer questions if anyone wants to go over that again.
18 For those of you listening, it's also available on our
19 website, some details about the study, if you are curious
20 about the protocol and out -- and our ongoing recruitment.

21 --o0o--

22 DR. WU: So we are currently busy in two
23 different regions. We have Los Angeles County, Region 1,
24 and Region 2, which is Riverside, San Bernardino,
25 Imperial, Mono and Inyo counties, where we're currently

1 live.

2 --o0o--

3 DR. WU: And as we've reported in previous
4 meetings, we completed sample collection in Los Angeles in
5 June of this previous year. We have 430 participants
6 total from L.A. County, 428 provided a urine sample, and
7 425 of whom provided a blood sample. Everyone provide at
8 least one of -- one of those types of samples, and
9 everyone was able to fill out the exposure questionnaires.

10 Of the 430, 160 participants were selected for
11 additional analyses for 1-nitropyrene, the biomarker of
12 diesel exposure. And we had 60 female participants
13 selected for phenols analysis.

14 --o0o--

15 DR. WU: So we just finished results return, the
16 primary results return for L.A. Of the 430 participants,
17 99 percent of the participants asked for their results
18 back. We give people the opportunity to ask for or to
19 decline their results, and almost everybody asked for
20 them. And the packets for metals, PFAS, and the
21 1-nitropyrene results went back to participants in early
22 February. And this is within one year of us starting
23 enrollment in CARE L.A., which is very exciting. And
24 participants will often say, a year? Why does it take so
25 long?

1 (Laughter.)

2 DR. WU: And it seems like a really long time.
3 But between recruitment and lab analysis and crunching the
4 numbers, and then assembling the packets, it's actually
5 quite a hard push to even get the packets out within one
6 year. So the Program, kudos to everyone who worked really
7 hard to get those out within the year.

8 The phenols did not make it into this packet, so
9 they will be sent in a separate mailing to those 60
10 participants. We have a public meeting planned for
11 spring, probably May or June. We're still working out the
12 date and location. And we'll post that information as
13 soon as it's available.

14 --o0o--

15 DR. WU: So what did we find?

16 Well, we're still really in a preliminary stage
17 of looking through the data. So this is very high level
18 preliminary overview of what we have. We measured 10
19 metals, including three metals that were looked at in both
20 urine and blood, lead, arsenic, mercury, cadmium, cobalt,
21 molybdenum, manganese, thallium, uranium, and antimony. I
22 think I got them all. And most of those metals were found
23 in 100 percent, or close to 100 percent, of participants.
24 The exceptions being uranium, antimony, and then urinary
25 manganese. And they were found in a smaller proportion of

1 participants.

2 We had 48 participants, or 11 percent, have a
3 level of concern -- a metals level over a level of
4 concern. And it was mostly inorganic arsenic or mercury.
5 And so we reached out to them with the early notification
6 and our follow-up protocol.

7 For PFAS, similarly we found PFAS in everyone.
8 Every single participant had at least one of the PFAS
9 compounds in their serum. And on average, participants
10 had seven different compounds. So far, we're finding that
11 the analyses are consistent with NHANES, even taking into
12 account the temporal trend. And we're continuing to look
13 at that data some more to see if there are any -- any
14 other demographic trends.

15 For 1-nitropyrene, we looked at two different
16 metabolites, 6-OHNP and 8-OHNP. And you can see the
17 detection frequency for both of those were quite high.
18 Everybody, with the exception of two participants in this
19 group, had at least one of the metabolites in their
20 system. So only two of the hundred and -- it turned out
21 to be 159 samples analyzed had some biomarker of diesel in
22 their urine.

23 We are looking more thoroughly at that data. As
24 you know, air pollutant, there's a seasonal trend to it,
25 and we did collect samples between February and May, which

1 is a time during which air pollution is really going to
2 change. And I think that will affect our ability to
3 analyze the data, but we will have more for you in the
4 coming meetings.

5 --o0o--

6 DR. WU: So CARE data is exciting, because it
7 gives -- because it gives us something sort of
8 geographically and temporally appropriate to compare to.
9 And what -- we're already having other researchers come to
10 us and ask for data sets as a comparison as a baseline for
11 California. And I think that will actually grow as we
12 have more data and we make it publicly available. So
13 that's a really exciting outcome from the CARE study
14 already.

15 --o0o--

16 DR. WU: Here we are in Region 2. This is just a
17 reminder of what Region 2 looks like, what the zones are,
18 and what our goals are for sampling in the different zones
19 of region 2.

20 --o0o--

21 DR. WU: And before we went live, we put a lot of
22 thought into how we could streamline the study. We need
23 to be as -- as efficient as possible, both from a budget
24 standpoint, but also so it's a sustainable protocol that
25 doesn't drive our field staff into fits.

1 So we spent a lot of time thinking about region
2 1, and looking at the participant management database.
3 One of the benefits of our system is that we can look at
4 how participants went through the system, see what took a
5 lot of management, took a lot of time. And we made some
6 changes based on what we found.

7 And one of our goals was to have a shorter field
8 presence. It's very expensive and very labor intensive to
9 move our office to another site. But having a shorter
10 field presence means that we need to be really efficient.
11 From the point people are interested in the study to the
12 point that we have their sample, we need to be super tight
13 and keep people in the study.

14 Part of that is having higher utilization of the
15 internet tool, because it's so much faster to get people's
16 informed consent and their study documents back, if they
17 can do it via the internet. So we made some changes in
18 how we -- how we recruited people into the internet
19 portion of the study.

20 We also had a stationary field office this time
21 around. Last year in Los Angeles, our sample collection
22 site was mobile, meaning that every one or two days, our
23 field collection -- our field staff would have to break
24 down the site, pack everything back up, and then set up
25 the next day. And we did this, because we wanted to be as

1 convenient for participants as possible. We wanted many
2 sites across L.A., but it was very, very labor-intensive.
3 A huge burden to staff, both in coordinating the field
4 part of it, but also just having to make all those
5 contacts in the community to set up all these different
6 field sites.

7 So this year, we have a stationary field site
8 that's located about half an hour away from the great
9 majority of our participants. So it's pretty convenient
10 to people -- for people to get to. We also have a mobile
11 team that will go around and do home visits, as well as do
12 those community-based events, but just less frequently.
13 And having two field teams instead of one just helps us be
14 a little more efficient and get our samples collected
15 without our field crew having to work very long days.

16 We also spent a lot of time tweaking our
17 participant management system. And as I referred to, this
18 is the online system that participants log into. They
19 activate their account. They fill out their informed
20 consent and their survey. And then they can go ahead and
21 schedule their appointment themselves.

22 We use a system on the back end to manage our
23 participants and send out reminders and also track how
24 participants are doing in the system. So we spent a lot
25 of time streamlining data entry, but also making it a

1 little more user friendly. And again, we've tried to
2 encourage people to use that instead of using the paper
3 packets.

4 The participant incentive was another change. We
5 gave participants in L.A. \$20. And we heard back from a
6 lot of people that that was not very much money. We know
7 that people have to sometimes take off from work,
8 sometimes drive some distance. They might need child
9 care, and since \$20 is -- is just not a lot of money in
10 compensation.

11 We also wanted to make sure that the study is
12 accessible to people across the socioeconomic spectrum.
13 And so the incentive this year is \$50. And we hope we see
14 the result of that expediting recruitment and also in our
15 ability to recruit across the population.

16 And finally results return, it's not part of our
17 field presence, but the same people who are running our
18 results return are also the people managing the
19 participants. So the more automated our field -- our
20 results return can be, the more available staff is to go
21 on to CARE 2.

22 The packets are fairly laborious to put together.
23 But this year, we were able to automate the assembly
24 process through some SAS code that was put together by one
25 of our staff. And this allowed us to get the packets

1 assembled more quickly, and also eliminated a lot of human
2 error -- or the potential for human error. So we were
3 able to get those packets turned around and out to the
4 participants.

5 --o0o--

6 DR. WU: So we're live. We have a field office
7 set up. It's near Loma Linda. And we have our field
8 staff. You see our mobile team and our -- our office team
9 pictured there. We officially launched recruitment in
10 mid-January. We sent postcards out to 79,000 households
11 in randomly selected mail codes across the Region. And as
12 for Region 2, we also then supplemented recruitment
13 through Craigslist and by reaching out to Rotary Clubs,
14 churches, libraries, universities, all sorts of community
15 organizations.

16 And the response has actually been kind of
17 overwhelming. As of March 4th -- so we launched -- so we
18 sent out the postcards in mid-January. As of March 4th,
19 we had almost 700 people filling out the prescreening
20 form. And this is a short form that you can fill out
21 online or on the -- on the phone, or sometimes we're at a
22 community event and we fill it out in person.

23 And we collect eligibility criteria, some
24 demographics, county of residence. And it's the people's
25 way of letting us know that they're -- they're interested

1 and want to participate. It's preliminary, but we think
2 about half of those have come in from the postcard, and
3 the others have come in from Craigslist, a handful from
4 community groups, lots of word of mouth through friends
5 and colleagues, just a handful from social media. Those
6 are our significant sources of people coming into the
7 pre-screen.

8 We also use the pre-screen data to select our
9 participant pool. It helps us determine where we need to
10 focus our recruitment, if we need to boost participation
11 across the region. And then we pull people taking race
12 and ethnicity, gender, and their sampling zone into
13 account. And then we select people for invitation into
14 the study.

15 At this point, the pre-screening is skewing --
16 it's skewing female, it's probably 60 percent female. Not
17 unexpected. It's often seen in recruitment. Pretty good
18 distribution across race and across the different sampling
19 zones. The median age is a little older than the median
20 age of the region. Again, not unseen in other studies.
21 But recruitment has been so quick that we've actually
22 closed the pre-screening down early. It closed as of
23 Sunday.

24 --o0o--

25 DR. WU: Enrollment into the study has

1 correspondingly also been really quick. And this is
2 enrollment status as of Sunday. So we have -- as I
3 mentioned, we picked the people to enroll. And then we
4 send out a packet. And that might go out on the internet,
5 through an email -- sorry, looking through my notes
6 here -- through the internet, and you get an email with
7 all the directions on how you activate your account, or
8 you might get a paper packet. As of Sunday, we had
9 invited 414 people to enroll. And of those, 81 percent
10 had elected for internet participation. So that's
11 considerably higher than the 60 plus percent in L.A.,
12 which is great, because it helps us move people through
13 much quicker.

14 And what I have shown here are status as shown in
15 our tracking system. So it's just where people are in the
16 study. They're not subsets of each other. And the
17 numbers are really fluid, because people are constantly
18 logging on or calling in to make an appointment. So
19 they're going between those -- those different statuses.
20 But it gives you an indication of how things are going.

21 So of the 336 people who are on the internet
22 participation group that we've invited to enroll, all of
23 them, except for 34, so 90 percent of them have actually
24 activated their account and done something to get into the
25 study. It's an extremely high uptake rate.

1 And, of those, very few are stuck in the
2 activated account, completed informed consent, or
3 completed exposure survey group. That's -- it's 40 or so
4 people in those -- in those groups, but 173 people made it
5 all the way to sample collection. And as of Sunday, 86 of
6 them had already completed the study. They'd already made
7 an appointment and shown up and had their samples
8 collected. So again, super high uptake and showing up for
9 their appointments.

10 If people make an appointment and then they
11 cancel or miss it for some reason, they go into this
12 missed canceled appointment category. And for this
13 region, we've seen far lower missed and canceled
14 appointments. Probably a function of the higher
15 incentive. I'm sure that's helping.

16 I think the field office, because it's -- it's
17 there at the same place all the time, it's much easier to
18 make a replacement appointment. And our participant
19 management tool, our staff is using it really efficiently.
20 So if somebody misses an appointment, they're getting back
21 and making a new appointment so they're back on the
22 schedule.

23 There are many fewer paper people. As you see,
24 the 78 people invited by paper packet. These invitations
25 first went out February 14th. And it takes at least a

1 couple weeks for those to turn around and come back in.
2 They have to fill out the packet and mail it back. It's
3 usually about three-week turn around on average, based on
4 our L.A. experience. So we have started to get packets
5 back, but these numbers reflect that a lot of people are
6 still in that invitation sent category, where we're
7 waiting for their packets to come back.

8 I had to get these slides finalized, but as of
9 yesterday, I think 12 more people had moved from
10 invitation sent, and now they're somewhere in the
11 pipeline. So those numbers are starting to go up.

12 And as of last night, when I looked at the
13 updated sample collection, we're up to 104 samples
14 collected already, and there are about 200 people in the
15 sample, in the scheduled category. So we're really very
16 close -- if those people all show up, we're very close to
17 our goal for this region already.

18 We did do an additional round of invitations
19 yesterday. So 62 more people will be added to this
20 invited-to-enroll category. And so things are actually
21 going great in Region 2.

22 --o0o--

23 DR. WU: We are going to ship samples to the lab
24 on an ongoing basis. The first boxes will be coming out
25 next week. And that's so the labs can get a jump starting

1 to analyze those. We'll be in the field until May. And
2 then after we pack things up, there's a lot to do, lab
3 analysis, sample management, cleaning the data, and then
4 we're back in results return mode with Region 2.

5 --o0o--

6 DR. WU: And then we are prepping for Region 3,
7 which is San Diego/Orange County. So we've already
8 started to get inquiries, both from Region 3 and from
9 around the state about when are you coming to my region.
10 So that's really exciting. The word about CARE is
11 obviously getting out. We're getting a little more just
12 public recognition, which is exciting, and hopefully will
13 help us in our recruitment efforts.

14 So, I mean, it is really exciting to report back
15 on the second year, and that it's gone so successfully.
16 And it's really exciting to see the -- that we can still
17 improve, that we're able to make changes that help the
18 whole -- the process go more smoothly. It's a huge
19 testament to Robin who coordinates the study and to the
20 whole staff that's putting a lot of work into this.

21 Statewide surveillance has been our aspirational
22 goal for -- for the life of the Program. So it is really
23 great to be out in the field and reporting back on actual
24 work. And we're committed to keeping this going.

25 There are some uncertainty to be -- uncertainty

1 about our funding in the future. And as our funding
2 changes, we may have to make some choices about what we
3 can and can't include in the CARE protocol. And we've
4 already had to go through that, right? We've already had
5 to change our recruitment. We've had to go down to one
6 region per year. But the hope is that we won't have to
7 chisel away more at that -- at that protocol. I think we
8 have something that's pretty robust. And we're generating
9 data that is and will be useful to the state.

10 --o0o--

11 DR. WU: So on to other studies. The East Bay
12 Diesel Exposure Project. This is just a reminder of what
13 EBDEP is. The child-parent pairs in San Francisco East
14 Bay looking at the biomarker of diesel across households
15 and age groups.

16 --o0o--

17 DR. WU: The field work was completed February
18 1st. Forty child-parent pairs enrolled, including 15
19 families that were taking daily urines over the whole
20 week. So that will be really interesting data to look at.
21 The samples are currently all at University of Washington.
22 And we're planning individual results return and community
23 meetings for the spring/summer. And Duyen and Sara are
24 both here if you have questions about that.

25 --o0o--

1 DR. WU: Just a quick follow-up on the
2 Asian/Pacific Islander Community Exposure Project. Last
3 time we met, we presented data on both PFAS and metals
4 levels, including the very high percentage of participants
5 with at least one metal over the level of concern. And if
6 you remember Karen Cohn from the San Francisco DPH was
7 here. And she was very interested in taking the data and
8 having it inform the work that they do. So we have
9 followed up and met with APA Family Services, who is our
10 community partner, and with San Francisco DPH together,
11 and we've talked about ways that we can -- what do we do
12 next? How do we use this data for educational work, for
13 outreach work to their affected communities? And we're
14 hoping that we -- that we continue this relationship and
15 help bring some change to those communities.

16 We're also hoping still that we will be able to
17 plan a community meeting for the San Jose region to
18 present our ACE 2 data. And we're hoping that our
19 continued collaboration with the San Francisco and APA
20 will help us get that planned.

21 --o0o--

22 DR. WU: And just a quick update on a few
23 additional studies, the Foam Replacement Environmental
24 Exposure, or FREES, Study. This is the intervention study
25 looking at changes in flame retardant levels after home

1 furnishings were replaced. Kathleen Attfield will be
2 presenting that data at the July SGP meeting comparing our
3 FREES study with a control group. So that will be
4 interesting.

5 We have the Measuring Analytes in Maternal
6 Archived Samples, or MAMAs, where we use the Genetic
7 Disease Biobank samples to look at POPs and PFAS levels
8 over time. And I believe that data should be going up on
9 the web in the next month or two.

10 And then we have the Northern California
11 Firefighter Study. This is biomonitoring of the
12 firefighters who were part of the strike team in the Tubbs
13 Fire in Sonoma County last year. And those results should
14 be going out to participants in the next month as well.

15 --o0o--

16 DR. WU: So I want to turn to this issue of
17 Program priorities. Last time we talked, we did talk
18 about this. This is a little bit in philosophical terms
19 about what our priorities were and what we could be doing
20 as a Program to be most useful. And I want to continue
21 that discussion but with a slightly different lens on it.
22 I think you all know that our CDC funding is coming to an
23 end in August. It was a five-year cycle, ends August
24 2019. And there's a new funding opportunity for another
25 five-year cooperative agreement with biomonitoring

1 programs. So we're currently working on a proposal in
2 response to this.

3 And for this round, CDC is allowing states to
4 focus on statewide surveillance as one component, but also
5 targeted biomonitoring, or biomonitoring as part of rapid
6 response, as a second component.

7 And as I said, we're committed to the CARE study.
8 We're committed to statewide surveillance. And that will
9 make up the bulk of our proposal. But what I would like
10 to raise here is -- for a discussion is what are our some
11 of the priorities that we could focus on for the targeted
12 studies, what are California specific needs that we should
13 be addressing, who are the vulnerable communities of --
14 that we should focus on, and what might be state-specific
15 exposure.

16 So a match between populations and analytes of
17 interest that we should -- that we would like to
18 understand better. I mean, in the past, we've looked at
19 flame retardants and the California specific flame
20 retardant exposure, and how the regulations made us unique
21 in that way. We've focused on our Asian population and
22 the high mercury and arsenic levels that we're concerned
23 about.

24 And in this forum, we've talked about wildfires,
25 both as a wild -- as a workplace exposure to fire --

1 firefighters, but also as an impact to the general
2 population, where different -- different exposures might
3 be -- might be important to look at.

4 So in looking for a discussion and some guidance
5 in ideas that we could put forward as priorities for the
6 Program, again both population based, but also laboratory
7 analytes of interest. And this is a discussion that's
8 kind of focused on our CDC proposal. And the timeline of
9 this is pretty short.

10 But just to put it out there, we'll also be
11 having this discussion in July in preparation for our leg
12 report, Leg Report 6, which is due at the end of this
13 year, and we'll want to have some discussion of program
14 recommendations going forward.

15 So with that, I --

16 --o0o--

17 DR. WU: Oh, this is the slide I just described.

18 (Laughter.)

19 DR. WU: Okay. Well, why don't I leave it up
20 here as part of the discussion. But before I do that, let
21 me just -- I just do want to put this slide up, because we
22 do have -- many of our staff couldn't make it. There are
23 a lot of conflicts today in the schedule, but there are
24 lots of staff people who don't come up here and present,
25 but who have been working very hard on all of these

1 studies. So I just want to acknowledge them here. But
2 let me go back and open it up for comment.

3 CHAIRPERSON SCHWARZMAN: Thank you so much,
4 Nerissa. I want to start as usual just by inviting
5 Panelists to ask Nerissa any questions about stuff that
6 she's presented.

7 So, Jenny.

8 PANEL MEMBER QUINTANA: Hi. Thank you for that
9 very impressive work. I had some questions on the CARE 2
10 study. And these basically have to do with diversity. So
11 one question was on the slide that talked about the
12 prescreening pool. And you said that they were skewed
13 female and older. Are -- were they also skewed in terms
14 of income? Were they skewed towards higher income that
15 you recall?

16 DR. WU: I believe they were skewed towards
17 higher education level as a proxy for education. So it is
18 a challenge for us always how we recruit across that --
19 across the spectrum. I don't have really a good breakdown
20 in my head. Kathleen Attfield, who will be here this
21 afternoon, might have a better sense of that, because
22 she's the person who's really taking a closer look at that
23 data.

24 PANEL MEMBER QUINTANA: Another question was
25 about the enrollment status slide, they had paper and

1 internet. And were these all in English language or there
2 were some in Spanish?

3 DR. WU: No, the whole study --

4 PANEL MEMBER QUINTANA: Like what was the
5 breakdown on that?

6 DR. WU: Okay. The whole study is available in
7 English and Spanish from the recruitment materials, the
8 packets, our phone service, everything is -- most of our
9 staff is bilingual -- our field staff is bilingual. And
10 we do outreach specifically to groups that -- that have a
11 constituency that is bilingual or Spanish speaking. We
12 have not been super successful. I think our enrollment
13 rate at this point is nine percent Spanish speaking or
14 primarily Spanish speaking.

15 So we could do a better job. We've tried by --
16 mostly through community groups to recruit in those
17 demographics and haven't been entirely successful. One of
18 the things we'll do as our study progresses is we'll take
19 a look at the participation pool. And as we did last
20 year, we may hold some targeted recruitment where we go
21 and exactly sign people up and go through the whole
22 process on site with a community group and boost our
23 participation in that way.

24 PANEL MEMBER QUINTANA: Okay. So the nine
25 percent is people that used Spanish for this form?

1 DR. WU: That's right.

2 PANEL MEMBER QUINTANA: Okay. Yeah, I was going
3 to suggest that. So that was great. You already said
4 that. Because certainly in some of the communities I can
5 think of out there, that would be a little low for the --
6 in terms of community representation. And then we already
7 discussed this, but I just want to make sure, this whole
8 process is completely mobile friendly, is that correct?

9 DR. WU: Yes.

10 PANEL MEMBER QUINTANA: Because that would be
11 important too.

12 I have more questions about the other part, but
13 I'll leave it for here about CARE 2.

14 CHAIRPERSON SCHWARZMAN: Other questions?

15 Yeah.

16 PANEL MEMBER LUDERER: Kind of a related question
17 regarding, you know, to be able to increase the diversity,
18 socioeconomic status-wise, ethnicity, do you offer
19 participants the ability to be able to come in at kind of
20 non-traditional hours, weekends, evenings? You know, is
21 it -- is that possible for them to be able to come in
22 those hours?

23 DR. WU: Yes. Our office hours are Tuesday
24 through Saturday, and we do have some evening hours. And
25 we offer home visits, which are actually -- Robin could

1 speak to this. I don't know if the home visits are
2 limited in their hours or if somebody has...

3 MS. CHRISTENSEN: Robin Christensen,
4 Environmental Health Investigations Branch.

5 The home visits are limited to the same schedule.
6 We have our staff working Tuesdays through Saturdays, and
7 they are all asked to work late on Wednesdays to
8 accommodate people who would like later on appointments.

9 CHAIRPERSON SCHWARZMAN: Is that your only
10 question?

11 PANEL MEMBER LUDERER: Yep.

12 CHAIRPERSON SCHWARZMAN: Any other questions?

13 And, Jenny, if you want to go beyond CARE, please
14 feel free to.

15 Yeah.

16 PANEL MEMBER QUINTANA: So I just want to get it
17 back to your grant, which I'm sure is pressing, if it's
18 due in April. That was the Program priorities timeline
19 and the California-specific issues slides. So you're
20 thinking of rapid response as one of your pieces for the
21 grant -- a rapid response portion, is that what you said?

22 DR. WU: Well, CDC allows where they broke it
23 down into two different components, one being surveillance
24 and the other being targeted. And they actually use the
25 phrase rapid response -- biomonitoring for rapid response.

1 PANEL MEMBER QUINTANA: It has to be one or the
2 other or it can be both?

3 DR. WU: It can be either/or.

4 PANEL MEMBER QUINTANA: Okay. Good.

5 So, I mean, obviously, wildfires would be
6 something where rapid response would be necessary, because
7 they aren't predictable. I'm sure you're thinking about
8 that.

9 But I was just wondering, is it appropriate here
10 to talk about the California-specific issues and perhaps
11 adding some items on that slide?

12 DR. WU: Sure.

13 CHAIRPERSON SCHWARZMAN: Yeah. Well, we're going
14 to -- let me just make sure that there aren't other
15 questions, because that's going to be the topic of our
16 discussion.

17 PANEL MEMBER QUINTANA: Okay. I'll wait for
18 that.

19 CHAIRPERSON SCHWARZMAN: Anything -- any other
20 questions about the Program update, including beyond CARE?

21 I thought Oliver was sitting on something.

22 No. Okay.

23 Yeah. José.

24 PANEL MEMBER SUÁREZ: Yes. Okay. Good. Just a
25 very quick question. Could you remind me about CARE 3

1 when you're planning on launching that?

2 DR. WU: It kind of makes me tired just to think
3 about CARE 3. We will be starting our outreach -- just
4 our general outreach and kind of figuring out the lay of
5 the land there - and though I know some of you are from
6 that region and we'll be talking to you I hope - and
7 making connections in the region starting in the fall --
8 actually, probably starting in the summer, and really
9 getting more serious about it in the fall after CARE 2
10 winds down.

11 I think we're going -- we're kind of on a
12 13-month cycle, so we'll probably start recruiting, I want
13 to say February/March, and then run through -- through
14 May. And we're kind of the same schedule now, but shifted
15 by a month.

16 PANEL MEMBER SUÁREZ: And what do you think, are
17 there some things that -- of course, you have learned a
18 lot of stuff over these CARE 1 and 2, now coming CARE 3,
19 are there any substantial differences that you might think
20 when it comes to recruitment of participants or the way
21 everything is carried out?

22 DR. WU: I don't know. Do you want to address
23 that?

24 PANEL MEMBER SUÁREZ: Well, I'm not sure if
25 you've gotten to that piece yet of thinking forward in

1 that regard, but if you have.

2 DR. WU: Well, yeah, not as an overview. But I
3 think -- I'm turning to Robin, because she is really out
4 in the field and can speak more to just like the ins and
5 outs of the study, and things that have worked well in
6 CARE 2. And they're very different regions, just in terms
7 of how people move around, the presence of community
8 groups. So some of that is some of the surveillance we
9 need to do in San Diego/Orange to understand like what are
10 best ways to communicate with people. But did you have
11 anything to add?

12 MS. CHRISTENSEN: So Robin Christensen. Briefly,
13 the -- what we're trying to do is make sure that our
14 recruitment is fairly similar across regions, so that
15 there is some sense of cohesion across the CARE study as a
16 whole. But within that, we are able to tweak things
17 around the edges to adjust to the region.

18 So, for example, for CARE 2, we increased the
19 incentive. We definitely spent time improving our
20 outreach materials. And we will do so again working with
21 groups that are local to each of the subsequent regions.

22 One of the things that I think we would like to
23 do is better figure out for ourselves a sense of timing,
24 in terms of how to release that recruitment information to
25 best encourage people to rapidly get through our cycle,

1 because this is repeated year, after year, after year. So
2 we're trying to condense the recruitment and field work as
3 much as possible.

4 CHAIRPERSON SCHWARZMAN: I wanted to ask as maybe
5 a segue to the discussion, you mentioned about some of the
6 ways that -- you know, I feel like the Program has been
7 incredibly nimble and inventive about how to proceed with
8 the study that was originally intended to be, you know --
9 maybe not this study, but at least the focus of the
10 Program was to do a representative sample across the
11 state. And that would presumably be more or less
12 simultaneous, as opposed to sequential.

13 And I've just been impressed by how the Program
14 has adjusted to the limitations of the budget to still
15 produce very meaningful studies that -- that will be
16 meaningful and useful in their own right, even though
17 they're not what was originally envisioned.

18 And I guess I was just wondering if you could
19 reflect for a minute, as we move into discussion, on -- so
20 for clarification, the CDC grant, if California was
21 awarded it, would not necessarily be significantly more
22 funding over what's available now, it would just be to
23 replace the CDC funding that's ending.

24 DR. WU: That's correct. So we currently have
25 one million a year from the current cooperative agreement.

1 What is on the table now is up to one million per year,
2 but an average of 750,000. So it's unclear whether CDC
3 will choose to go with -- you know, whether they'll go
4 with numbers of grants or dollar amounts in those grants,
5 but it's up to a million. So it could replace or continue
6 on the work that we're doing now. It will not be more.

7 CHAIRPERSON SCHWARZMAN: But it won't build on
8 it. Okay. So that's an important frame maybe for our
9 conversation. And it sort of obviates the need for my
10 second question.

11 (Laughter.)

12 CHAIRPERSON SCHWARZMAN: Which was if there was
13 possible for increased resources, some -- some reflection
14 on priorities about -- just trade-offs about simultaneity
15 versus number of analytes and that kind of thing. But I
16 don't think those trade-offs are yours to make right now.

17 DR. WU: Yeah. We don't have either of those.
18 So, I mean, we have made our case for -- we've tried to
19 make our case for increased funding. I mean there are two
20 different scenarios. If we don't have CDC funding, we run
21 into a lot of problems, because there are lots of things
22 that we can't do with purely State funding, not only in
23 the volume, but because State funding is somewhat
24 inflexible.

25 But to put things in context, we referred back to

1 our original conception of this Program, when we looked at
2 sort of CalHANES kind of thing, where we'd be doing -- or
3 even if CARE when we first proposed it, as a two- or
4 three-year cycle, we'd cover the state in two or three
5 years, we were looking at like a 10 to 14 million dollar
6 budget to do that. It's just very costly to do this work.

7 So we have introduced a temporal bias. We have
8 maybe -- there's some inefficiencies with doing it spread
9 across the state. And to be frank, there is some
10 discussion about whether doing this kind of quota-driven
11 sampling over regions is -- if that is representative,
12 there's definitely a push to harmonize the kind of
13 statewide surveillance that's being done at -- sponsored
14 by CDC and APHL.

15 And we have looked at the methods like
16 probabilistic sampling that are generally seen as the gold
17 star of surveillance. But when you look at the
18 implementation of those, particularly in a place like
19 California, we could check a box saying, yeah, we've done
20 probabilistic sampling. But if the reality is you get a
21 completely biased sample, I don't think that's serving us
22 well.

23 And so we've -- I think what we have is the best
24 representation we can get for the money we have to spend.
25 And it's a little dissatisfying perhaps, but I think it

1 still will be useful and ultimately, you know, we're just
2 doing the best we can.

3 CHAIRPERSON SCHWARZMAN: Okay. Any other
4 questions, or I will use that to sort of segue to
5 discussion. Let me just maybe make a quick check first
6 for public comment, either in the room or online?

7 Nothing. Okay. Thank you.

8 So then we have time set aside, another 25
9 minutes or so, for discussion. And there -- rather than
10 just staying -- there's two sides that we were going to
11 show, if you wouldn't mind.

12 So this is where the Panel gets to reflect for
13 the Program's benefit on ideas about priorities,
14 particularly relevant to the CDC proposal -- funding
15 proposal. So if you would just go to the first slide,
16 just to reiterate what some of Nerissa talked about. This
17 is the CDC funding opportunity that is targeting --
18 targeted at states to increase use of biomonitoring for
19 state-specific exposures hoping to fund collaborations
20 around biomonitoring, increase awareness of biomonitoring.

21 And their guidance to this proposing states is
22 that proposals can focus on either of these -- well, they
23 were lumped in Nerissa's slides, but here there are
24 three -- these three areas, statewide surveillance,
25 targeted biomonitoring, or rapid response studies.

1 So I think we've seen examples of Biomonitoring
2 California doing all three of those. We have three of
3 those even in this update from Nerissa. And so I think
4 this is a chance to reflect a little bit on those.

5 If you want to go to the next slide, Russ.

6 --o0o--

7 CHAIRPERSON SCHWARZMAN: Just to reiterate some
8 of what Nerissa just talked about, the California specific
9 issues that have been targeted and are potential targets
10 in new funding are communities that are specific to
11 California like immigrant populations or particular
12 occupational cohorts. You know, we certainly have more
13 pesticide exposures than most states, I would say. Are
14 there issues that are particular to California like
15 agriculture or other industry, elements of our population
16 diversity that make us stand out, the significance of our
17 air pollution, and, of course, wildfires, which overlap
18 into the rapid response topic as is already being
19 discussed.

20 And apart from kind of looking at it from a topic
21 or a location lens likewise, are there particular lab
22 panels, particular analytes to highlight that are
23 priorities to maintain? Essentially is, I think
24 realistically if we think about it, the emphasis on this
25 slide should be on maintain.

1 So with that introduction, I'd like to open it to
2 comments?

3 Ulrike, did you --

4 PANEL MEMBER LUDERER: Yeah.

5 CHAIRPERSON SCHWARZMAN: Yeah, please.

6 PANEL MEMBER LUDERER: So, you know, I think
7 it's -- just looking at the CARE study so far, obviously,
8 the metals panels and addressing the exposures to mercury
9 and arsenic that vary quite a bit in -- higher in the
10 immigrant communities, as we saw with the ACE study, I
11 mean, that's -- I think that's really important, and I
12 really want to commend the Program on doing that.

13 Another kind of California, not specific, but
14 that is a bit different in California, is the flame
15 retardant exposures. And that's something that the Panel
16 has been concerned with since its inception, and the
17 changes in -- well, in the phase-out of the PBDEs, but
18 then also the change in the California regulation about
19 flame -- how long materials have to withstand and be able
20 to withstand an open flame.

21 Those things -- those changes, I think, are one
22 of the pro -- things that the Program has been able to do
23 is show changes over time as a result of those types of
24 policy changes. And so I think that should be a priority
25 to continue and maybe to bring into the CARE Study,

1 because that is a statewide exposure. I mean, we're
2 really -- all Californians are exposed to that. And I
3 think showing the effects, hopefully, of the changes in
4 the regulation, as well as the phase-out of some flame
5 retardants, and then potentially tracking emerging flame
6 retardants. And that the Program has developed analytical
7 panels for, for the organophosphate flame retardants for
8 example, I think that that should be a -- continue to be a
9 priority.

10 CHAIRPERSON SCHWARZMAN: Thank you. I want to
11 point -- I should have pointed you, as I flagged the lab
12 panels question, we all have in our packets this list of
13 lab analytes that are a current list reported by
14 biomonitoring and what studies they are -- appear in. And
15 then also in the packets are the two lists of
16 Biomonitoring California chemicals, both the priority
17 chemicals and the designated chemical lists. And those
18 have both been updated recently. So take another look, if
19 you haven't seen them recently.

20 Jenny.

21 PANEL MEMBER QUINTANA: Hi. This has to do with
22 just maybe throwing out some ideas. I'd like to echo what
23 Ulrike said about flame retardants being very important,
24 and especially as the exposures may be going to the lower
25 income population if the older furniture is degrading and

1 exposing people, the new furniture doesn't have it.

2 But also I'd like to perhaps throw out that we've
3 seen a lot in the news about rural water supplies. The
4 large Central Valley community, many of whom have very
5 small water supply utilities, or have their own private
6 wells. And that may be an issue, I think, of particular
7 interest to California.

8 And the other one I don't see there is also
9 perhaps military populations since -- especially in San
10 Diego, we have a large military presence. They have
11 exposures in other countries and also exposures on base,
12 and a very big population, at least for the San Diego
13 region.

14 And then under the issues particular to
15 California, it's not completely particular to California,
16 but you already mentioned the metals in the Asian/Pacific
17 Islanders, some of which may be due to dietary practices.
18 And so I think in California, I see a lot of interest in
19 how diet might protect us from environmental exposures.

20 So not just cultural practices in diet, but in
21 general benefits of organic diets or any evidence that we
22 can reduce exposures in the population through diet. And
23 I'd like to throw that out as an idea of a priority. At
24 least, I see that very much a strong interest for
25 Californians.

1 And I'd also like to reiterate the importance of
2 air pollution on the list. I think we should be thinking
3 of biomonitoring, not only to uncover high exposures, but
4 to demonstrate the effectiveness of public health
5 policies. And I think in California that the push towards
6 clean diesel is going to be one of the greatest public
7 health successes of our time. And showing that
8 effectiveness I think is important, just like everyone
9 here has seen the huge drop in blood lead that happened
10 after they banned lead in gasoline. It's a very famous
11 graph about the importance of public health policy. So
12 I'd like to reiterate that air pollution too.

13 Thank you.

14 CHAIRPERSON SCHWARZMAN: Yeah, Tom.

15 PANEL MEMBER MCKONE: Again, these are thoughts.
16 First of all, it's really -- it's great to see this work,
17 and see numbers coming in. And I guess it's sad to see
18 that there's things really showing up. But, I mean, we
19 expected that.

20 So just sort of expanding. And I agree with
21 what's been said so far. And I want to push it like on
22 wildfires, and rapid -- mixing that with the rapid
23 response. I'm sure there were a lot of people would --
24 really would have liked to have known during the two,
25 three weeks when the air quality in Southern California,

1 and in the Bay Area, we -- I mean, I'm more familiar,
2 because I was watching it so closely, the very high
3 exposures to particulate matters -- particulate matter --
4 fine particulate matter and all the things associated with
5 that.

6 And we really don't know it very well. We
7 didn't -- we really could only monitor that with the Bay
8 Area Air Quality Management District monitors, which
9 aren't very many, and some modeling. And we really didn't
10 know -- we could do some epidemiology, but we didn't
11 really know what exposures were happening in people.

12 And, you know, I think if we have some protocols,
13 like the diesel protocol, might be useful to just look for
14 the types of combustion products that would be in wood
15 smoke. I mean, unfortunately, this is going to happen
16 again. I mean, it would be great if what was an isolated
17 event last summer, where we had two to three weeks of
18 really bad air quality.

19 Probably another one similar to that, that nobody
20 has really brought up that I have often wondered about, is
21 heat waves. Because when you get severe heat waves, a lot
22 of other things happen, you trap chemicals, there's more
23 volatilization indoors and outdoors of products. I mean,
24 that might be one to focus on. But probably the wildfires
25 would be a higher priority.

1 And then I had one other thought is Cali -- with
2 something unique to California, probably mostly unique to
3 California, is that the Office of Environmental Health
4 Hazard Assessment invested a lot of time and effort into
5 CalEnviroScreen, which is a projection -- it kind of
6 projects where we expect the most impacted communities to
7 be.

8 And it would be very interesting to over -- to
9 make sure the biomonitoring provided some sufficient
10 ground truthing -- not ground truthing, but some --
11 does -- what happens in the communities, what do we -- do
12 we see differences in exposures? Because a lot of those
13 communities are -- that come up high in CalEnviroScreen
14 come up for the reason that they are close to a lot of
15 pollution emission sources, agricultural releases. I
16 mean, it's got built into it a way of saying these are
17 factors that should increase exposure and health burden.

18 And this -- with some -- it may not even involve
19 new biomonitoring, just learning to read the biomonitoring
20 in the context of CalEnviroScreen. And that might be
21 interesting hopefully to somebody at CDC that we have this
22 tool that helps us map out problem areas in the state.
23 And wouldn't it be great to just enhance or just better
24 use the biomonitoring data that we're collecting to make
25 sense of CalEnviroScreen.

1 Just thoughts.

2 CHAIRPERSON SCHWARZMAN: Please, Eunha.

3 PANEL MEMBER HOH: Yes. I think for the rapid
4 response that I was thinking about, I mean, the wildfire
5 is definitely really important. And we hear about like at
6 Paradise, you know, the California that their dust --
7 currently even they're suffering from all the dust, you
8 know, made from the fire last year. I think their
9 exposure is almost close to the 9/11 the dust at the time
10 of the New York City. And I think we may probably learn
11 something from the 9/11 study. They're continuously
12 working on their exposure study over there. It could be
13 that chemicals could be also found in the -- those
14 wildfires causing maybe similar chemicals as well.

15 Another point. I think there are some groups are
16 studying like this -- the chemicals associated wildfires.
17 And I'm in part -- I'm involved in a very little study.
18 The water stream from the -- waters collected right
19 after -- I mean, probably first storm and second storm
20 events after the Napa Valley wildfires.

21 So -- and then there was also Santa Rosa there is
22 a big fire. So we collected water and currently analyzing
23 those waters samples. But I heard that other groups, like
24 UC Davis, has like NIH rapid response kind of a grant
25 about the air pollution, air quality stuff. And they

1 actually measuring some -- even conducting some
2 non-targeted analysis for the air particulate matters in
3 there. So I think we can probably learn something from
4 their NIH study.

5 Yes. I think that's about it.

6 CHAIRPERSON SCHWARZMAN: If I could insert one
7 thought. It's a small thought, but it's along the lines
8 of Jenny's thought about organic diets as being health
9 protective. And I've often felt like there's a gap in how
10 well we're communicating who pesticide-intensive farming
11 hurts. You know, we think about the health benefits of
12 eating organically grown food, but I mainly think of it in
13 terms of not poisoning the people who are growing the
14 food.

15 And -- and I -- I think it may be powerful to
16 study workers who are working on an organic -- in organic
17 farm fields. We have a lot of data from CHAMACOS about
18 farm workers who -- and their families who are in
19 pesticide-intensive fields. And I don't know if
20 there's -- this is a small and focused study. I'm not
21 thinking of like putting this in CARE or something. But
22 if there's a way to work with CHAMACOS and identify, in
23 some region, coming up if there's -- it's such a targeted
24 study, it's -- you lose anonymity. And I don't know how
25 feasible it is from all those kinds of ways.

1 But just in terms of throwing out ideas, I've
2 been -- I've always been interested if we cannot just
3 demonstrate high exposures from an activity that we
4 suspect to be risky, but also demonstrate the ability to
5 lower exposures by and through an intervention, right?

6 So this would be -- it would be pretty striking.
7 If you start to take the data on health impacts that comes
8 from biomonitoring studies like CHAMACOS and then show
9 that not only farm workers but surrounding communities and
10 their families are not exposed that way around less
11 pesticide-intensive operations, there could be some public
12 policy power in that.

13 So I don't know where that fits in the priorities
14 for the CDC grant, but it's a topic that has interested
15 me.

16 Other -- Veena.

17 PANEL MEMBER SINGLA: Thank you so much for that
18 great presentation. It's super exciting to see how well
19 CARE is proceeding.

20 So I wanted to second some of the comments that
21 were made earlier about the metals, and flame retardants,
22 and the utility there, and also add on about the PFAS and
23 support maintaining that, which is -- there is a national
24 conversation going on right now in relation to PFAS
25 drinking water standards, and amongst many states,

1 including California. And I think this data is going to
2 be very valuable, both to inform that conversation about
3 drinking water standards, and then also potentially to
4 track changes once drinking water standards hopefully are
5 enacted.

6 And thinking about issues that are particular to
7 California, and thinking about some -- maybe some of the
8 more emerging issues, one that came to my mind was -- was
9 cannabis, which I think is of interest to California and a
10 number of other states that I think is -- is going to be
11 more and more widespread, as more states legalize, both in
12 terms of cultivation and exposures that may result from
13 intensive cultivation.

14 I don't know if there's specific practices or
15 pesticides, especially related to cannabis cultivation,
16 but I think that's -- there is going to be more and more
17 of that in California and other states. And it would be
18 good to know -- understand more about potential exposures
19 related to cultivation, as well as exposures from
20 consuming various preparations, because we -- we really
21 don't know much at all about contaminants in cannabis
22 preparations, whether it's pesticide residues or other
23 additives. So trying to get a better understanding of if
24 there may be exposures of concern there.

25 And then also thinking about emerging

1 technologies. I think that's something that California is
2 very famous for. So being early adopters -- actually,
3 innovators and adopters of emerging technologies. So
4 things like 3-D printers, or wearable electronics that may
5 be resulting in higher indoor or, you know, direct
6 exposures to users of some of these emerging technologies
7 to get ahead of maybe exposures of concern around those.

8 PANEL MEMBER LUDERER: Yeah. I wanted to also
9 suggest that cannabis workers in the cannabis industry,
10 both agricultural and the retail-related work, that that's
11 a potential emergent -- occupational exposure group that
12 the Program might want to continue -- to consider looking
13 at.

14 And then regarding the wildfires, I completely
15 agree that that's another California, not unique, but
16 certainly a big issue in California. And so in that --
17 considering that, I think that in terms of thinking about
18 which panels should be maintained, obviously PAHs is --
19 polycyclic aromatic hydrocarbons, is important because we
20 know that they're generated during wildfires in large
21 quantities.

22 CHAIRPERSON SCHWARZMAN: Maybe could I press that
23 point for just a sec too, since lots of people have
24 flagged wildfires as a rapid response topic for obvious
25 reasons. But I think there's a significant nuance in that

1 about what one would want to study and when. Because
2 certainly, there's the acute smoke exposure events that
3 happen both for wildland firefighters and for residents in
4 highly affected areas.

5 But then there's the long tail, right, and the
6 clean-up period. And I think there's -- a lot of people
7 are probably hinting at there's significant occupational
8 exposures during that sort of clean-up and mop-up, and
9 then reinhabitation period.

10 And that's probably a very different set of
11 analytes. And I -- so maybe I would just elicit some
12 thoughts from the Panel about that.

13 Eunha, were you --

14 PANEL MEMBER HOH: Yeah. Yeah. I think the
15 metals are definitely important for the clean-up time.
16 And I think also some industrial chemicals related to the
17 building materials are probably important ones to be
18 checked.

19 CHAIRPERSON SCHWARZMAN: Like benzene.

20 PANEL MEMBER HOH: Yeah. Yeah.

21 CHAIRPERSON SCHWARZMAN: Just to be specific,
22 I'll insert that.

23 Any others?

24 PANEL MEMBER HOH: Definitely flame retardants.
25 Yeah, but there are a lot of like plasticizers and also,

1 you know, a lot of things are used for building materials.

2 CHAIRPERSON SCHWARZMAN: Yeah. Well, I guess one
3 of my questions is are you thinking combustion by-products
4 of those materials or that the materials themselves are
5 sort of liberated because of the destruction?

6 PANEL MEMBER HOH: (Nods head.)

7 CHAIRPERSON SCHWARZMAN: And maybe it's both.

8 PANEL MEMBER HOH: Probably both, yeah. Yeah.
9 But I think it's probably more -- building materials are
10 more like a settling that -- maybe the dust, not
11 necessarily highly volatile, you know. Those are
12 probably -- probably lingering for a long time.

13 CHAIRPERSON SCHWARZMAN: And with regard to
14 benzene, just to sort of close that loop since I raised
15 it, there's the, I think, an issue emerging now in
16 Paradise with the water supply contaminated with benzene,
17 right, which is presumably because of combusted building
18 materials, plastics, and things. I don't know if anyone
19 had anything else to add to that.

20 Carl, were you...

21 PANEL MEMBER CRANOR: Actually, the point was
22 just raised. But let me -- two seconds or ten.

23 The combustion by-products that settle to the
24 ground of flame retardants and, I don't know, whatever is
25 in the building materials. I don't know if anybody

1 understand the chemistry of that, but that -- that would
2 be a place to look to see what had been found there.

3 CHAIRPERSON SCHWARZMAN: Yeah. José.

4 PANEL MEMBER SUÁREZ: So I have a couple of
5 comments and questions that I also wanted to turn back to
6 the Biomonitoring Program.

7 So we were asked to think about the panels --
8 prioritizing which panels to maintain, more so than the
9 expansion. And my understanding is the expansion mainly
10 because of the funding situation that would be decreasing.
11 Is that a fair assumption just, first of all.

12 CHAIRPERSON SCHWARZMAN: (Nods head.)

13 PANEL MEMBER SUÁREZ: So -- okay. So I have a
14 good amount of thoughts what additional chemicals we could
15 add. Let me just briefly talk about that, just to lay it
16 out there.

17 One of the important pieces is with agriculture
18 in California. Of course, California is one of the main
19 producers for the country for agricultural products. And
20 something that a class of pesticides that we -- that has
21 been increasing substantially since the 90s, depending on
22 which ones and some other ones since the mid-2000s are
23 fungicides.

24 So now, it is estimated that about 40 percent of
25 all crops are sprayed with fungicides. And since 1997,

1 there's been -- depending on the type of fungicide,
2 there's been substantial increases. Even since 2007,
3 there -- for example, with azole fungicides, there's been
4 a five to six hundred percent increase in the use. And
5 these are chemicals that we don't know very little about,
6 and a little bit of the biomonitoring starting -- and
7 actually with Dr. Hoh, we have this funded project in
8 which we're going to be developing methods to measure
9 these. And they have been successful at measuring some of
10 those.

11 Another important class are QOI inhibitors.
12 These are quinone outside inhibitors. Again, massively
13 introduced in the mid-2000s. And we know nothing about,
14 these from the toxicological data. They're finding all
15 these epigenetic changes related to autism in rats, and
16 also attention deficits.

17 And again, this is something that is very widely
18 used. And yet, the biomonitoring of these is very rare
19 throughout the world. So these are very emergent pieces
20 that -- something that we should start thinking about.

21 When we compare it with other types of
22 pesticides, we see that, for example, insecticides, the
23 use does increase, but it hasn't been increasing at the
24 rate of fungicides say in this case.

25 And then another piece that's important is the

1 use of glyphosate, which is very widespread. It is
2 considered to be a probable carcinogen. And, of course,
3 the methods for biomonitoring of this, my understanding is
4 that it's pretty complex. But now, they're starting to do
5 it in a couple of different labs. Very expensive to
6 develop the methods, but also something that we know so
7 little about, and yet it may have tremendous
8 repercussions, especially seeing reports that glyphosate
9 is now present in serial boxes in a lot of different
10 products that people are eating.

11 So this is kind of to the adding piece of it.
12 But, of course, we can add a lot of different things. So
13 the part that I also wanted to kind of change and ask a
14 question back to the Panel was, well, looking at the
15 biomonitoring that you have been doing, and there is a
16 very nice list of chemicals that there are there, are
17 there perhaps some that you might be thinking, based on
18 the trends that you're -- or that you're observing or
19 potential health effects, that you would consider perhaps
20 discontinuing to allow for new additional emergent
21 chemicals to be measured?

22 DR. WU: You're asking of that of staff?

23 MS. HOOVER: He's asking that of us.

24 DR. WU: That is a really difficult question. I
25 think it is, yes. It's a really difficult -- I think we

1 have raised that question here before, and we almost
2 always conclude that we have to do everything. Because
3 there are the new emerging chemicals, there are the legacy
4 chemicals that we want to continue to track the trends,
5 and it's a diverse group of researchers and everyone has
6 like how could you discontinue PAHs. They're so
7 important. The flame retardants are so important. I
8 mean, every has got their pet chemical, I guess.

9 So I don't have an answer to what we would
10 discontinue. There's certainly some methods that we have
11 prioritized. And I do think that's an important
12 discussion to have, because if you try to maintain
13 everything, you end up not -- you end up not maintaining
14 everything well.

15 And it's hard for us to have -- we went down this
16 path in our last meeting. You end up not being able to
17 deliver results rapidly, because, you know, you have to
18 get back up to speed, because you've kind of let a method
19 be inactive for a little while.

20 But we need help with that prioritization,
21 because as a Program, we keep getting pulled in different
22 directions as well. As far as the emerging chemicals, my
23 understanding from CDC is it's not out of the question
24 that they would fund development of a new method, but the
25 real focus of the funding is to produce data. They really

1 want us -- if there's a method that we have to tweak
2 because we know it's irrelevant and because we think
3 it's -- you can apply it right away and generate relevant
4 data, that's fine, but that's not really the emphasis of
5 the funding, so we have to look somewhere else to -- if we
6 do want to add methods, we would have to look somewhere
7 else for funding.

8 CHAIRPERSON SCHWARZMAN: I want to just note,
9 because it's time to move on to our presentation from --
10 is that okay? We're making up time. I don't know how you
11 feel about that.

12 I just want to note that we have a significant
13 time for discussion in the afternoon, also. So please
14 keep these ideas percolating and we'll return to them,
15 including sort of -- we can return to more of the longer
16 term priority ideas in the afternoon.

17 MS. HOOVER: This is Sara Hoover of OEHHA. I'll
18 just add one thing to Nerissa's comment, and also say that
19 a lot of the things that are revolving around the
20 discussion this morning will come up in Veena's talk, and
21 Eunha's talk, and José's talk. So you will be -- have
22 plenty of time to talk about it again.

23 Just to comment on the panel. So we prepared,
24 you know, as Meg pointed out, this laboratory analytes
25 reported by. And the reason why we narrowed it down was

1 exactly this reason, we're -- we already de-emphasized
2 certain panels. So this is less than the full lab
3 capability on this list. So that's already reflected.
4 There's been a shift, for example, in the phenols we're
5 measuring, so we're capturing some new ones. Our lab EHL
6 is looking into measuring glyphosate, looking into
7 expanding the PAH panel, looking into measuring VOC
8 metabolites.

9 So we're always kind of making that calculation.
10 And it's true, like -- as Nerissa said, we're not really
11 dropping things. We're evolving them. So that's been the
12 approach.

13 In terms of CARE, as you know, we prioritize
14 metals and PFASs, but we have been able to add panels, you
15 know, to different regions, and that's something we'll
16 continue to look at. Like in the Central Valley,
17 obviously pesticides seems like a really good candidate to
18 try to add. So that's another way you can look at it in
19 terms of targeted as well. Instead of just a whole brand
20 new study separate from CARE, it could be kind of a nested
21 study in a region of CARE.

22 CHAIRPERSON SCHWARZMAN: Great. Thank you.

23 So maybe that was particularly useful in just
24 sort of getting us to start thinking. And we will have --
25 we're going to now move into the portion where we'll hear

1 from some of our newer Panelists, and we'll return to
2 discussion this afternoon with that having had that input.

3 So in the next three talks, we will be hearing
4 from our newest SGP members about some of their research
5 and how -- then we'll want to reflect on how it can inform
6 Program priorities. We'll hear from Veena before lunch.
7 And then after lunch, Eunha and José. After each talk,
8 we'll have time for some questions for the Panelists, and
9 later in afternoon an hour for discussion to talk more in
10 depth about both sort of near-term and longer-term
11 priorities.

12 So I'm going to start by introducing Veena
13 Singla. She's Associate Director of Science and Policy at
14 the Program on Reproductive Health and the Environment at
15 University of California, San Francisco. She was
16 appointed to the SGP by the Senate Rules Committee in May
17 of 2018. And she's here to present her research on
18 Chemicals in the Indoor Environment and their Implications
19 for Human Exposure and Health.

20 (Thereupon an overhead presentation was
21 presented as follows.)

22 PANEL MEMBER SINGLA: Thank you so much, Meg.
23 Good morning, everyone. I'm delighted to be speaking
24 today.

25 So I wanted to start by telling you a little bit

1 about the Program on Reproductive -- yeah, is that better?

2 MS. HOOVER. Yeah.

3 PANEL MEMBER SINGLA: -- a little bit about the
4 Program on Reproductive Health and the Environment, or
5 PRHE for short. Our mission is to create a healthier
6 environment for human reproduction and development through
7 advancing scientific inquiry, clinical care, and health
8 policies to prevent harmful environmental exposures.

9 And our model is somewhat unique in that we
10 connect research and fundamental biology, exposure
11 science, and epidemiology to informing evidence-based
12 changes in clinical care and public policies with the
13 ultimate goal of having healthier moms and kids.

14 --o0o--

15 PANEL MEMBER SINGLA: So today, I'm going to talk
16 about why the indoor environment is so important for
17 public health in my research on consumer product chemicals
18 in indoor dust. I'll briefly discuss some of the
19 implications for human health and then finish up with some
20 highlights for the Program.

21 --o0o--

22 PANEL MEMBER SINGLA: So in developed countries,
23 we spend about 90 percent of our time indoors. So that's
24 thinking about in homes, offices, schools, the gym,
25 transportation. And the indoors is really a unique

1 two kind of overarching categories: Volatile organic
2 chemicals and semi-volatile organic chemicals.

3 --o0o--

4 PANEL MEMBER SINGLA: So this 2013 study was
5 really interesting. They investigated what
6 characteristics of chemicals could be used to predict
7 human exposure patterns. They looked at about 2,000
8 different chemicals and looked at characteristics like the
9 annual production volume, releases to the outdoors,
10 application volumes for pesticides, and was it used indoor
11 or in consumer products.

12 And that last criteria that was it used indoor or
13 in consumer products turned out to be the best predictors
14 of what chemicals are detected in human biomonitoring
15 studies. So it's kind of, in short, telling us that
16 what's indoors tends to get inside of us.

17 --o0o--

18 PANEL MEMBER SINGLA: And how does this happen?
19 So this is my partner. He's a software engineer. This is
20 his typical setup with his multiple computers. And, you
21 know, when I show this slide at occupational medicine
22 conferences, the first thing people want to say is the
23 ergonomics are terrible.

24 (Laughter.)

25 PANEL MEMBER SINGLA: I know, but he won't listen

1 to me, so --

2 (Laughter.)

3 PANEL MEMBER SINGLA: All the chemicals in the --
4 in the products around us and in our building materials
5 can off-gas, migrate, and abrade from products. And then
6 these emissions can lead to human exposures by inhalation,
7 ingestion, and dermal contact. And for volatile organic
8 chemicals, for VOCs, inhalation is really the primary
9 exposure pathway.

10 But for these SVOCs, these semi-volatile
11 chemicals, they have a much more complex behavior in the
12 indoor environment, where they partition between air, dust
13 and surfaces, and products. So SVOCs, inhalation can also
14 be an exposure pathway, but also air to skin, so air to
15 dermal can be an exposure pathway, and from contaminated
16 dust. So inhalation of contaminated dust particles,
17 ingestion by hand-to-mouth contact, direct dermal contact
18 dust to skin, and also direct product contact with
19 products containing these chemicals.

20 And that direct product contact is especially
21 important for products that could be applied directly to
22 the body, like personal care products.

23 --o0o--

24 PANEL MEMBER SINGLA: So dust in the indoor
25 environment can kind of give us a snapshot of what SVOC

1 concentrations; and then use those dust concentrations to
2 estimate human exposures; and then finally to understand
3 more about potential health implications to use
4 authoritative lists to identify chemical health hazards.

5 --o0o--

6 PANEL MEMBER SINGLA: So in conducting our
7 literature search and finding relevant data, we used the
8 following -- inclusion or exclusion criteria. So we were
9 specifically interested in SVOC consumer product
10 chemicals. So we excluded pesticides, pharmaceuticals,
11 and metals. We were also interested in current use
12 chemicals, so we limited our search to samples that were
13 collected in 1999 or later, and excluded legacy or banned
14 chemicals, like PCBs or PBDEs.

15 We also focused on dust collected indoors in the
16 U.S. and collected with a vacuum cleaner. So in our
17 initial literature search, we identified five classes of
18 SVOC consumer product chemicals that had been measured in
19 U.S. indoor dust, and that met these criteria.

20 --o0o--

21 PANEL MEMBER SINGLA: So those five classes of
22 chemicals were phthalates and their alternatives. They're
23 used as plasticizers, especially in vinyl and PVC
24 materials, upholstery building materials, environmental
25 phenols and -- like bisphenol A, parabens, nonylphenol and

1 ethoxylates use -- also used in plastics, cleaning
2 products, personal care products, fragrances used to scent
3 a wide variety of everyday products.

4 Replacement flame retardants. So this -- because
5 we were excluding PBDEs, we focused on non-PBDE flame
6 retardants that were mostly being used as replacements
7 found in furniture, children's products, electronics,
8 building materials, and then PFASs, which we've already
9 heard about used in stain and water repellent treatments,
10 non-stick cookware.

11 So we con -- then conducted our systematic
12 literature search for studies that met our criteria with
13 these five classes of chemicals.

14 --o0o--

15 PANEL MEMBER SINGLA: So we identified 31 studies
16 that met our criteria that measured 172 unique chemicals.
17 And -- there we go -- phenols and flame retardants were
18 the classes with the most unique chemicals. And I think
19 the proliferation of replacement flame retardants, 47
20 different unique flame retardants measured here really
21 shows the proliferation after the PBDE phase-out of the
22 different types of flame retardants being used as
23 replacements.

24 --o0o--

25 PANEL MEMBER SINGLA: So next then we compiled

1 descriptive information and statistics on chemicals that
2 were measured in two or more data sets. So here, you can
3 see significant attrition here. There was a lot of
4 chemicals that were only measured in one data set.
5 Especially for fragrances, 96 percent of the fragrances
6 were measured in only one data set.

7 And so for -- then for each of these studies, we
8 looked to compile quantitative data on the sample size,
9 the year of collection, the chemical detection limit, the
10 detection frequency, and the chemical concentration, the
11 percentiles, minimum/maximum concentration standard
12 deviation.

13 --o0o--

14 PANEL MEMBER SINGLA: Then for the meta-analysis,
15 we focused on chemicals that were measured in three or
16 more data sets. So now we're down to 45 chemicals total,
17 and we're seeing significant attrition, especially in the
18 replacement flame retardant and phenol classes.

19 So there was a lot of those chemicals that were
20 measured in only one or two data sets. So for each of
21 these 45 chemicals, we compiled the geometric mean and
22 geometric standard deviation of each chemical's
23 concentration from each data set, and then calculated the
24 pooled geometric mean and the 95 percent confidence
25 interval.

1 --o0o--

2 PANEL MEMBER SINGLA: So a little bit more about
3 these 45 chemicals and the data sets. So the samples in
4 these studies came from 14 different states, and the --
5 here, the size of the circle represents the number of
6 studies in that location.

7 So we see that kind of clustering of the samples
8 on -- on the coasts, and especially around research
9 universities for the flame retardants. So it's a
10 limitation that our data may not be nationally
11 representative.

12 --o0o--

13 PANEL MEMBER SINGLA: And where did the samples
14 come from in terms of indoor environments?

15 The vast majority were from home environments,
16 single-family homes, apartments, condos, other residential
17 environments. And there were -- so there were over 1,500
18 samples from homes. Some of the non-residential
19 environments included day care, schools, fire stations,
20 gyms. So our results are likely much more reflective of
21 the home or residential environment.

22 So one of the first questions we had was across
23 all these studies different environments and different
24 locations was there anything in common between all these
25 places?

1 at the lowest concentrations in dust.

2 --o0o--

3 PANEL MEMBER SINGLA: So next we used these dust
4 concentrations to estimate human exposure. So we started
5 with that average concentration in dust, and then used
6 partitioning theory to estimate the levels in air. And we
7 looked at the estimated total residential intake for an
8 adult female and a young child. And this does not account
9 for other sources of exposure to those chemicals, such as
10 product use or from foods. It's only looking at the
11 indoor residential intake.

12 --o0o--

13 PANEL MEMBER SINGLA: So here is the intake
14 assessment results for the child looking at the chemicals
15 there along the bottom. And what we see is that here
16 there on the order of estimated intake with the
17 chlorinated flame retardants and some phthalates there on
18 the right standing out as having the highest estimated
19 residential intakes.

20 When we look at the exposure pathways
21 contribution, what we see is that the contribution from
22 dust can vary actually quite considerably, based on the
23 chemical.

24 So here in red is the contribution from dust
25 ingestion. And the dark blue and light blue are air

1 inhalation and dermal from air. So some significantly
2 different patterns based on the physical chemical
3 properties of the chemicals, where they partition
4 differently between air and dust, and make inhalation a
5 more dominant exposure pathway for some of them.

6 --o0o--

7 PANEL MEMBER SINGLA: So then finally, we wanted
8 to understand more about what we know about the toxicity
9 of these chemicals. So to do this, we used the California
10 Safer Consumer Products Candidate Chemical List, which I
11 think many of us are very familiar with.

12 This has hazard traits for chemicals which have
13 been identified by selected authoritative bodies. So
14 here, we're down to 35 chemical. There were six of our
15 chemicals that weren't on the list. And for some of the
16 substances that are typically found as a chemical mixture,
17 like the flame retardant hexabromocyclododecane, the
18 hazard data was for the mixture, not for the individual
19 isomers.

20 And for the six chemicals that were not on this
21 list, it likely reflects more of a lack of data than
22 necessarily that we know there's a lack of toxicity,
23 because our searches of toxicology databases turned up
24 very little information on those particular six chemicals.
25 These were more emerging chemicals.

1 So here's what we found.

2 --o0o--

3 PANEL MEMBER SINGLA: We're looking there at the
4 hazard traits identified by the list, so for reproductive
5 or developmental toxicity. And then at the bottom, the
6 chemicals are ordered in the order of estimated intake
7 with the highest at the right.

8 So we can see for the high intake chemicals some
9 trends. The ortho-phthalates there in blue, the -- it's a
10 class based on some common structural elements, and see
11 some consistency with multiple hazards in that class, and
12 see some similar trends for some of the other chemicals,
13 like the PFASs as well with, again, common structural
14 elements, which could contribute to toxicity there.

15 The phenols in green are kind of somewhat diverse
16 structurally, but they -- we do see some commonality there
17 with endocrine and reproductive toxicity, which is --
18 could be related to that common toxicity of estrogenic
19 activity often found in the phenols.

20 And then flame retardants are also quite a
21 diverse class structurally. We do see some trends of
22 neurotoxicity with the brominated flame retardants and
23 carcinogenicity with some of the chlorinated flame
24 retardants.

25 I think the other feature here of note is that

1 looking across the rows at the hazard traits, we see that
2 multiple chemicals are associated with the same hazard
3 trait. And reproductive endocrine and developmental
4 toxicity is the most common hazard trait we see here.

5 So this raises concern for cumulative effects,
6 and how the effects from exposures to multiple of these
7 chemicals in the indoor environment could add up. So
8 there is concern for cumulative exposures, but we also
9 wondered if there was any information on how risky anyone
10 of the individual chemical exposures might be.

11 --o0o--

12 PANEL MEMBER SINGLA: So we did an analysis
13 looking at sort of the best health based risk level we
14 could find. There's no health based risk levels for
15 indoor dust, so we used EPA soil screening levels as -- as
16 sort of a proxy. And so here we're looking at the
17 chemical concentration in dust for these four different
18 chemicals where we could do the comparison with EPA soil
19 screening levels. And the -- so the circles are the
20 average level in dust, and then with the triangles showing
21 the highest concentration in dust found in each study that
22 measured that chemical. And then our black bar is the EPA
23 soils screening level. And the red fill indicates where
24 there's dust levels that exceed the EPA soil screening
25 level.

1 So for the phthalate DEHP, the average level
2 exceeded the EPA soil screening level. And for some of
3 the other chemicals, some of the highest concentrations
4 found exceed the EPA soil screening level. So there could
5 be concerns for a portion of the population with those
6 higher levels.

7 --o0o--

8 PANEL MEMBER SINGLA: So overall in summary,
9 looking at these five classes of SVOC consumer product
10 chemicals, phthalates kind of stood out as having the
11 highest levels in dust, the highest estimated intakes, and
12 multiple hazard traits.

13 And there is concern for daily co-exposure to
14 multiple chemicals in the indoor environment. So this --
15 this kind of profile that we see, again, is likely
16 representative of a residential environment. And we're --
17 we're not sure how generalizable it is, but it does
18 indicate concern for the potential for multiple
19 co-exposures to chemicals from each of these five classes.

20 And some of the levels of the individual
21 chemicals in dust do raise concern as they exceed the EPA
22 screening benchmarks.

23 --o0o--

24 PANEL MEMBER SINGLA: So some highlights for the
25 Program. I think that again dust is really interesting

1 because it presents this snapshot of what's happening in
2 the indoor environment. So we see that longitudinal dust
3 samples can kind of track policy changes, as well as human
4 exposure trends.

5 So here, we're looking at PBDEs in repeat
6 California house dust samples. The researchers went back
7 to the same households in two different time periods, and
8 we see a significant decrease in PBDEs reflective of
9 California State bans, as well as national phase-outs
10 there with the levels going down in dust.

11 And we can also see that trend in biomonitoring
12 data. So this is a study looking at PBDEs in pregnant
13 California women, specifically seeking care in San
14 Francisco. And we can see the trend again over time
15 significant decrease in PBDEs reflecting those policy
16 changes.

17 --o0o--

18 PANEL MEMBER SINGLA: So a couple things to think
19 about, which were already actually raised some this
20 morning in our discussion, is there, the last one,
21 thinking about tracking exposure trends to flame
22 retardants. So last year, a Assembly Bill 2998 restricts
23 flame retardants in select children's products,
24 mattresses, and upholstered furniture. That's going to go
25 into effect in 2020.

1 So I think there -- it could be very interesting
2 to try to track exposures to some of the most common
3 replacement flame retardants that are used in these
4 particular products to understand what the trend looks
5 like as this policy goes into effect.

6 I think studies to explore how indoor sources are
7 contributing to exposures for some -- for some of the
8 priority chemicals we talked about, the PFASs, the flame
9 retardants, would be really interesting, whether it's an
10 interventional study design, like the -- the foam
11 replacement study or other types of designs that could
12 help us better understand how some of these very common
13 indoor sources like electronics, flooring or textiles
14 might be contributing to exposures for some of these
15 specific chemicals.

16 And I also think it would be interesting to think
17 about the potential for dust sampling to complement the
18 human biomonitoring data. So whether that's researcher
19 collected, which is certainly more resource intensive, to
20 have, you know, researchers go to participant's home to
21 collect dust samples and would likely require additional
22 funding, or whether there's information that could be
23 gained from participant-collected dust samples, like from
24 vacuum bags, which have more limitations in terms of the
25 quantitative information you could collect, but could

1 perhaps generate some useful qualitative information on
2 kind of chemical profiles, and the presence or absence of
3 specific chemicals.

4 --o0o--

5 PANEL MEMBER SINGLA: Thank you very much.

6 (Applause.)

7 CHAIRPERSON SCHWARZMAN: Thank you so much,
8 Veena.

9 We have until 10 past 12:00 for questions for
10 Veena. And a reminder that this will all, along with our
11 two other Panel presentations, feed into a discussion
12 later in the afternoon.

13 So, Tom.

14 PANEL MEMBER MCKONE: Thank you. Really
15 interesting.

16 I had a question or some comment maybe about
17 the -- I don't know the slide number, because they don't
18 show up on my printout, but the one showing the
19 concentration ranges.

20 PANEL MEMBER SINGLA: Um-hmm.

21 PANEL MEMBER MCKONE: So it's way back, maybe in
22 the middle. It comes in just -- there. So what's -- so
23 these are the means and the variance of the observations?

24 PANEL MEMBER SINGLA: Yeah. So it's the pooled
25 geometric means and the 95 percent confidence interval.

1 PANEL MEMBER MCKONE: Okay. So what struck me on
2 this is the phthalates. I mean, there's a lot of
3 variability here, but the phthalates, it's almost like
4 almost everybody has the same source of phthalates.
5 Whereas, you know -- which you would expect. Not
6 everybody is using the same phenols at the same rates.
7 There's going to be a lot of variability household to
8 household.

9 Is that -- I mean, is that -- is there an
10 underlying understanding is that we all just have
11 phthalates in our houses --

12 PANEL MEMBER SINGLA: Yeah, that's --

13 PANEL MEMBER MCKONE: -- so consistently that you
14 don't see a lot of variance?

15 PANEL MEMBER SINGLA: I thought that was
16 interesting as well for a couple of reasons. One is that
17 in thinking about human exposure to phthalates, food and
18 diet tends to be the thing we think about the most, and
19 not necessarily the indoor environment.

20 And what this -- what this is suggesting is that
21 there is something very con -- appears to be something
22 very consistent across the indoor environment that could
23 also be contributing fairly consistent -- consistently to
24 phthalate exposures.

25 And I would -- my hypothesis around the -- around

1 these particular phthalates would be focused on building
2 materials, because they're very commonly used in vinyl and
3 PVC building materials, which are very widespread.

4 PANEL MEMBER MCKONE: And flooring. Now so much
5 of flooring now is actually synthetic. It works better
6 than real world for a lot of people.

7 PANEL MEMBER SINGLA: Yes.

8 PANEL MEMBER MCKONE: Now, you didn't look at any
9 phthalates that are in beauty products or health care
10 products and hair.

11 PANEL MEMBER SINGLA: Yeah, so a number of --

12 PANEL MEMBER MCKONE: I mean, I don't know how
13 big it is.

14 PANEL MEMBER SINGLA: -- these phthalates are
15 used -- they are very multi-functional in term -- in terms
16 of their uses, so they're used both in building materials
17 as well as personal care products. So DEP, for example,
18 is one that's typically more used actually in personal
19 care products, cosmetics, to carry fragrance. And DBP and
20 BBP are both used in kind of -- both, like they're used in
21 vinyl products, as well as things like nail polishes and
22 other -- other kinds of paints.

23 So they're -- phthalates are interesting, because
24 they're kind of like the PFASs. They're very
25 multi-functional and have such a broad range of uses.

1 CHAIRPERSON SCHWARZMAN: Eunha.

2 PANEL MEMBER HOH: Very nice presentation. Very
3 nice work.

4 I'm just wondering -- I'm just curious, you know,
5 for even interpretation of my study too, I just want to
6 hear your thoughts on it. You know, we use always the
7 concentration based on weights per, you know, the gram of
8 dust or gram of the sample size. Have you thought about
9 like using more like a molar-based kind of concentration,
10 which may be beneficial in some ways. I'm sort of like
11 also thinking about, you know, when I'm looking at my
12 data. You know, I'd like to hear about your opinion about
13 it.

14 PANEL MEMBER SINGLA: That's a really good
15 question. We -- in thinking about our intake assessment,
16 we thought a lot about the fact that when you're looking
17 at the concentration in dust, that doesn't speak to the
18 bioavailability or any of the factors other than, you
19 know, just the level in dust that could influence
20 absorption uptake and the ultimate exposure.

21 So, you know, ultimately, we'd -- we decided it
22 was a little too complicated to go down that route, and we
23 kind of presented it as an estimate, right, like a general
24 estimate of potential human intake. But I think to really
25 understand the contribution of what's in the dust versus

1 some of the other pathways, you would need to understand
2 some of those bioavailability issues.

3 CHAIRPERSON SCHWARZMAN: I'm curious if you have
4 any reflections on the -- on the chemicals that weren't
5 highly represented enough to make it through to the end of
6 the study, because it's such an interesting project. And
7 I really appreciate your presentation, pulling together
8 all of these data that are from disparate studies and
9 giving us a sense of what they tell us -- the story that
10 they tell us when pulled together. And, of course, in
11 that process you lose things. And it points us this kind
12 of -- especially once you get to the point of
13 meta-analysis, reduces the field of inquiry to those
14 chemicals that are most frequently studied, not
15 necessarily those that are most important, right?

16 And so I'm just curious for any reflections that
17 you have on some of the chemicals that fell out along the
18 way, because they weren't -- didn't appear in very many
19 studies, if there are things that you would be interested
20 in looking at more? Like I think it was -- even just
21 creating the criteria of replacement flame retardants
22 rather than looking at the same old flame retardants was
23 really interesting and helpful in this. And are there
24 other categories like that that you might create from
25 these high numbers of chemicals in the early

1 descriptions -- like 47 different replacement flame
2 retardant chemicals and 62 phenols, where there wasn't
3 sufficient representation across the literature to see --
4 to do meta-analysis on it, but are there chemicals there
5 that we should be interested in?

6 PANEL MEMBER SINGLA: Yes. I think the
7 fragrances certainly stood out in that regard, where we
8 saw quite a large number that had been measured in one
9 study, and then we're down to one, and -- by our
10 meta-analysis. And we had -- we had a few different
11 thoughts about that.

12 One is that amongst these -- so for all consumer
13 product chemicals, there's challenges with knowing what
14 chemicals are in products. That's -- that is a general
15 challenge. However, it's -- for fragrances, it seems to
16 be an -- a special challenge with even knowing what
17 chemicals to look for. So one of our thoughts was that
18 that could be a contributor here, where there is just so
19 little information on what fragrance chemicals are used at
20 all that even doing the targeted analysis is difficult.

21 So I think the fragrances are a category where
22 that could be targeted for more research, in terms of
23 which chemicals and their patterns are in the indoor
24 environment. I think the phenols also stood out. Even
25 though we did have a good number make it through, there --

1 they were one of the classes that initially had the
2 largest number, and many fell out. They were measured in
3 only two data sets.

4 And, you know, as I understood it from some of
5 the analytical chemists that we spoke to, that there --
6 some of them can be particularly difficult in terms of the
7 methodology -- the analytical chemistry methodology to
8 measure them. Maybe that's -- that's a contributor. But
9 I think the phenols are really interesting in the sense
10 of -- similar to the replacement flame retardants there is
11 a number of phenols that are -- that have been and are
12 being targeted for phase-out and replacement, and that
13 we're going to see kind of a proliferation of replacements
14 there. We're already seeing it with the bisphenols and
15 the replacements for bisphenol A. We're seeing it a
16 little bit with the parabens, with, you know, methyl-,
17 ethyl-, butylparaben and the replacements for those.

18 I think the -- some of the nonylphenol and
19 octylphenol, and those ethoxylates, there may be similar
20 trends happening in the future. So I think the phenols
21 are also of very interesting class in that regard with
22 those -- there are probably going to be a lot of emerging
23 chemicals.

24 CHAIRPERSON SCHWARZMAN: Thank you. Jenny, did
25 you have a question?

1 PANEL MEMBER QUINTANA: Yes. Do we have time?

2 CHAIRPERSON SCHWARZMAN: One more, yes.

3 PANEL MEMBER QUINTANA: I'll try to make it
4 short. We've done some work with house dust. And what
5 I'm really struck with was some chemicals are quite fairly
6 small variability, and you're seeing here the 95 percent
7 confidence interval I believe on the mean, but there's
8 some chemicals where most people are very low, and then
9 one, or two, or three households will have 10,000 times
10 more, 1,000 times more, or 100 times more. And so I was
11 just thinking back to some articles some years ago that
12 someone said in environmental health we should be looking
13 at the top 10 percent exposed, not the mean exposures,
14 because really that's who's going to be getting ill.

15 And so it made me think about if you had thought
16 about looking at -- if you had the same order of
17 chemicals, if you looked at the highest exposed, for
18 example, as opposed to the average? I know you did show
19 in the other graph the high levels in the dusts.

20 Any thoughts?

21 PANEL MEMBER SINGLA: That's super interesting.
22 That's very interesting. I mean, we -- we kind of thought
23 about those -- you know, those more highly exposed
24 populations, more in the context of kind of health risk
25 assessment, and that we should be -- and when we're

1 thinking about assessing health risks, and -- but, you
2 know, potential actions to manage those risks, we should
3 be thinking about those highly exposed populations.

4 But that's -- now, I want to go look at that data
5 and see what that looks like if we looked at that -- you
6 know, those -- some of those top-of-the-max levels and
7 looked at the order of chemicals then what we would see.
8 Because there is -- I'm thinking of a couple of studies on
9 the flame retardants in particular that find like very
10 high levels.

11 When there's large numbers of, for example,
12 children's products in the home, they see, you know, 100
13 to 1,000 times higher levels of some of the chlorinated
14 flames retardants that are commonly used in children's
15 products. So I think there's probably factors like that
16 that influence the indoor levels of all of these
17 chemicals. So it would be really -- it would be really
18 interest to look at that. Thanks for that idea.

19 CHAIRPERSON SCHWARZMAN: Thank you, Veena.

20 And we'll do more discussion after lunch. I'm
21 sorry. We have to break for lunch. Is it a very quick
22 question?

23 PANEL MEMBER CRANOR: Very quick.

24 CHAIRPERSON SCHWARZMAN: Okay.

25 PANEL MEMBER CRANOR: Given what you've just

1 said, this is just to suggest another item for the agenda,
2 if building materials are the source of many of these
3 things you're talking about, would it be worthwhile for
4 the Biomonitoring Program to look at the people that build
5 the houses and work with these products that go into the
6 walls and so forth?

7 PANEL MEMBER SINGLA: Definitely. And I don't
8 want to stand between people and lunch, so I will say
9 let's put a pin in that for the afternoon discussion,
10 because I -- yeah, I have more to say about that.

11 (Laughter.)

12 CHAIRPERSON SCHWARZMAN: So we're going to break
13 for lunch, and we will -- we have an hour and 15 minutes,
14 and we'll reconvene promptly at 1:25. There's -- for
15 Panel members, there's a hand out in your folder about
16 some close -- nearby places. And a reminder to the Panel
17 members before we conclude please, to comply as usual with
18 Bagley-Keene requirements and refrain

19 MS. HOOVER: Carl.

20 CHAIRPERSON SCHWARZMAN: Sorry. We haven't quite
21 concluded the meeting. I'm obligated to remind you to
22 comply with Bagley-Keene requirements and refrain from
23 discussing Panel business during lunch and the afternoon
24 break.

25 Thank you.

1 (Off record: 12:12 p.m.)

2 (Thereupon a lunch break was taken.)

3 A F T E R N O O N S E S S I O N

4 (On record: 1:25 p.m.)

5 MR. BARTLETT: Okay. Great. Welcome back. And
6 at this moment, we will reconvene into our afternoon.

7 Thank you.

8 CHAIRPERSON SCHWARZMAN: Okay. So as we reorient
9 to the afternoon, we're going to continue with our Panel
10 member presentations of their work.

11 Next up is Eunha Hoh. She is professor of
12 Environmental Health in the School of public Health at San
13 Diego State University. And she was appointed to the SGP
14 by Speaker of the Assembly Anthony Rendon in September
15 2018.

16 She is going to present her work on Non-Targeted
17 Screening of Marine Organisms and Drinking Water; Newly
18 Identified Persistent Pollutants.

19 (Thereupon an overhead presentation was
20 Presented as follows.)

21 PANEL MEMBER HOH: Thank you. Thank you for
22 giving me opportunity to give a presentation. And also I
23 really especially thank you. Thanks to Sara for guiding
24 me to make a proper presentation to the audience today.

25 --o0o--

1 PANEL MEMBER HOH: So a little bit of
2 introduction that how I got into more like discovery of
3 the new contaminants, you know, new bioaccumulative
4 contaminants, persistent organic contaminants was -- it
5 was the same time that I kind of discovered -- at the
6 time, it was a quite new flame retardants. It's called
7 dechlorane plus. I found it in the Great Lakes.

8 --o0o--

9 PANEL MEMBER HOH: That was really a thrilling
10 moment. My last project of my Ph.D. work. And then that
11 was published in 2016 -- 2006. But at the same time,
12 there was another kind of paper, like this paper that
13 they're talking about. Like, are there other persistent
14 organic pollutants, a challenge for environmental
15 chemists, which exactly I kind of felt that like -- after
16 I finding a couple of new compounds, and I say like what
17 about -- what about, you know, we're missing. How we're
18 going to be more proactive.

19 So this paper I just introduced is kind of a
20 inspiring me to continue the study. Yeah.

21 --o0o--

22 PANEL MEMBER HOH: And then -- so the -- you
23 might have thought was why is that certainly like marine
24 organisms. You know, we're doing all this human
25 biomonitorings, you know, discussion. One of the thought

1 was that, you know, we kind of found more signals in the
2 wildlife sometimes earlier than actual human samples. So
3 we kind of thinking about like what could be the
4 alternative or could be the sentinels, you know, to give
5 us early warning, you know, system for contaminants.

6 And what -- what are the marine -- what are the
7 sentinels? What species could be good for us, you know?
8 There's multiple sentinels, you know, people proposed.

9 One of the sentinels we have been working on is
10 marine mammals. So marine mammals have their top
11 predators, and they're primarily eating all those seafood.
12 And then they're also mammals. So they kind of -- also,
13 they have a kind of interesting body system, like have
14 thick blubber. So they accumulated quite a bit of
15 contaminants in their blubber.

16 So it's kind -- also, it's easy to get those
17 blubber samples, which is huge amount, you know, that we
18 can look at what chemicals are accumulated in the -- their
19 blubber tissues.

20 Of course, there are -- also, another thing is
21 where they -- their habitats. Also, they're living more
22 in the coast, which are also humans -- or Californians,
23 we're living a lot people in the coast. This is something
24 kind of similarity there.

25 Also, you know, geographically the several

1 literature shows that difference of those mammals exposure
2 to contaminants, really consistent with the use of the
3 chemicals, you know, close to their terrestrial lens.

4 --o0o--

5 PANEL MEMBER HOH: Another thing is the detection
6 factors. So we know that they're an audience that, you
7 know, if several people are working on the measurement
8 side, and then -- you know, measurement is -- it's quite
9 comprehensive, great -- quite challenging subject, because
10 it has a lot of factors in it, like which instrument do we
11 have to use, you know, what matrix is the best sample for
12 detecting certain chemicals, and do we know about the
13 chemicals actually we're going to measure?

14 So there are several factors really affect
15 detectability, you know. So the ideal world, the
16 instrument can probably detect a wide range of chemicals,
17 and then sample matrix is actually really good sample,
18 like a high -- accumulating a lot of chemicals in high
19 concentrations, so we can detect the contaminants. And
20 also, what if we are completely like, remote areas? We
21 are completely clean area in it geographically. And maybe
22 that samples may not really show a lot of chemical
23 profiles.

24 But where the sample is coming from is also very
25 important. I should say, you know, the

1 instrumentation-wise, all this detectability, single
2 instrument cannot do all things, you know. So here, my
3 study is just one of the case studies that I'm showing,
4 you know, based on my publications.

5 --o0o--

6 PANEL MEMBER HOH: So I've been working on like
7 non-targeted analysis using GCxGC with time of flight mass
8 spec. One of the great benefits of that instrument is the
9 separation -- really high separation thing. Of course,
10 non-targeted analysis, a lot of -- everybody is all agree
11 that the huge amount of data. So what is the data
12 reduction? Is that data reduction system? We should have
13 it.

14 So we've been working on all the data reduction,
15 specifically for halogenated organic compounds, because
16 compounds contained halogens, like I'm talking about, even
17 chlorines and bromines, now fluorines, and make the
18 chemicals much more stable, more persistent, also
19 bioaccumulative.

20 So something that, you know, we considered, the
21 chemicals contained halogens. It's kind of a red flag.
22 You know, there's something that interest chemicals. So
23 we are able to isolate the chemicals containing chlorines
24 and bromines, after we get the raw data, like a basically
25 all the signals of the detection of the compounds.

1 --o0o--

2 PANEL MEMBER HOH: I'm going to make it very
3 short about these objectives of non-targeted analysis that
4 people already know that, you know. We want to try to
5 look at everything as possible, not only looking at the
6 few target analytes. Targeted analytes is very important,
7 because it really gives us the certain toxic chemicals
8 that we needed to follow up, you know, and also the
9 method -- like a method has to be developed very sensitive
10 enough, you know, for the toxic chemicals.

11 Non-targeted is more likely, like what if we're
12 missing? What about the total burden of the exposure?
13 You know, so what if we're missing certain chemicals that
14 we completely ignored or completely unintentionally, you
15 know, missed?

16 --o0o--

17 PANEL MEMBER HOH: So one of the also important
18 of non-targeted analysis is the identification. So we
19 pretty much based on the mass spectra matching. So we're
20 using the database. For example, for this instrument,
21 we're using the NIST EI Mass Spectral database, which
22 contains about 250,000 chemicals of mass spectrum. So we
23 basically use that for the match.

24 But also the best way of the confirmation
25 definitely we have to use the authentic standards to

1 just put that some listing the anthropogenic halogenated
2 organic compounds. You can kind of see the PBDEs there,
3 PCB there, mirex is there, you know, triphenyl is on the
4 bottom, you know, DDE there.

5 On the right panel is also interesting compound
6 that we found that these chemicals are naturally occurring
7 in the ocean. And if you look at closely the chemical
8 structures, they kind of have a little bit of similarity
9 with the anthropogenic halogenated organic compounds in
10 the left panel. So it's very interesting that those in
11 the ocean actually producing the chemicals similar to the
12 anthropogenic halogenated organic compounds.

13 --o0o--

14 PANEL MEMBER HOH: And we kind of compiled that,
15 what about this whole 327 compounds that -- what we know
16 about it. So we kind of made it like anthropogenic,
17 natural products, or some compounds actually made both
18 ways, naturally or also anthropogenically. And then some
19 compounds we have no idea where they come from. And you
20 can see though those blue -- blue bar represents more like
21 a typically monitored compounds, which means like a lot of
22 literature, a lot of regulations, what they regulate, what
23 they're measuring chemicals.

24 But the orange -- the red colors means like not
25 really monitored, not much is studied halogenated organic

1 compounds. We pointed out, which is kind of important,
2 like we're missing that part of the halogenated organic
3 compounds.

4 --o0o--

5 PANEL MEMBER HOH: Very important thing we
6 thought was very interesting thing is that when we
7 compared the relative abundance, and then, of course, we
8 are -- it's coming from the Southern California Bight, DDT
9 was huge. It's like really, really abundant -- most
10 abundant in terms of the class among all the halogenated
11 organic compounds.

12 When we're looking at those chemicals and
13 identify them, and then we found a lot more DDT-related
14 chemicals. We're talking about here with as in the table,
15 is 23 compounds, or DDT-related compounds, which includes
16 very well known DDT and DDE and also DDD.

17 --o0o--

18 PANEL MEMBER HOH: But we also found a lot of
19 degradation compounds of the DDT in the dolphin blubber.
20 So we are -- we, of course, we banned the use of DDT. But
21 now we're living in the -- now dealing with the
22 degradation of the DDT chemicals, which I thought was
23 pretty interesting.

24 --o0o--

25 PANEL MEMBER HOH: The next thing was we found

1 was tris(chlorophenyl)methane, the TCPM. There are about
2 12 compounds of TCPM. You can see the structure is three
3 benzene rings. So basically DDT, and they have another
4 phenyl ring there, okay?

5 And we found several isomers, and then also
6 there -- the TCPM with a different number of chlorines as
7 well. We also found TCPM hydroxy-TCPM as well. There's
8 several isomers as well.

9 So where are they coming from?

10 We -- it's -- we found a very -- a couple
11 literature they mentioned about it. It's a by-product of
12 the technical DDT product. And we also actually analyzed
13 the technical DDT product, and we found these chemicals in
14 the technical DDT product as well.

15 --o0o--

16 PANEL MEMBER HOH: Another category of the
17 DDT-related compounds was hexa to octa-chlorinated
18 diphenylethylene. So the left two mass spectrum is an
19 example of those compounds, is we couldn't -- there's no
20 standard available, so we couldn't really confirm. But
21 the -- based on the mass spectrum, which is pretty
22 consistent mass spectrum with para-para DDE.

23 So basically DDE similar have a structure --
24 chemical structure bond, but it has different number of
25 chlorines. So basically five chlorines, six chlorines.

1 We found like seven chlorines, you know, attached to this
2 chemical structure bond.

3 --o0o--

4 PANEL MEMBER HOH: So one of the thing was we
5 were very interested in this TCPM, and then hydroxy-TCPM
6 isomers in these marine mammals from the Southern
7 California Bight.

8 --o0o--

9 PANEL MEMBER HOH: What about the concentration?
10 So is that -- are they abundant?

11 These are the DDT-related compounds. We
12 quantified it in the eight blubber samples. As you can
13 see, the DDE is highest -- para-para DDE is the most
14 abundant, which is not surprising. And then DDD. And
15 then those TCPM is actually fourth abundant compare -- you
16 know, among the whole DDT-related chemicals.

17 And then we can see the other TCPM isomers next
18 to it. And then hydroxy-TCPM also next to it. So they
19 are actually more abundant than DDT in our analysis con --
20 in our analysis. And then we -- even also another
21 degradation product at DDM. So we kind of see that TCPM
22 and hydroxy-TCPM as pretty high.

23 --o0o--

24 PANEL MEMBER HOH: And there's another study that
25 we also looked at the short-beaked common dolphin blubbers

1 from the Southern California Bight again. We also found
2 that you can see that on the bottom of the graph, the TCPM
3 was quite abundant. So TCPM was quite abundant compared
4 to other known anthropogenic halogenated organic
5 compounds.

6 --o0o--

7 PANEL MEMBER HOH: And this is another study
8 we're currently in publication. This is more like a
9 dolphin blubbers from currently live bottlenose dolphins
10 in Southern California Bight. You see that the order of
11 the abundant, that TCPM was pretty high. It was just next
12 to the DDE.

13 --o0o--

14 PANEL MEMBER HOH: And then this is another study
15 that we recently published. It's more like a different
16 marine mammal species. We used the five different marine
17 mammal species. So one class is more like a dolphin
18 species. The other is more pinnipeds like a sea lion,
19 that kind of species.

20 The reason that I'm showing this result is that
21 within the marine mammals, their chemical -- halogenated
22 organic compounds, their -- the body burden, the chemical
23 profiles are quite different. That means that probably --
24 they're living in the quite similar same habitats.

25 Of course, the food intake could be different,

1 but we think it's probably metabolism related. So the
2 dolphins has a much more accumulated those chemicals in
3 their blubber, compared to the pinnipeds. But both
4 species, both classes species, we see that TCPM are quite
5 abundant, both dolphins and pinnipeds.

6 So this is the -- the study was actually was more
7 talking about discussing about which marine mammal could
8 be the best sentinel species for us to know, you know,
9 what chemicals we are also exposed. Like, why largest
10 range of the chemicals what the species can tell us. You
11 know, maybe that we have to choose.

12 --o0o--

13 PANEL MEMBER HOH: This is the bird, not
14 necessarily mammals. But this is the bird egg study in
15 the San Diego Bay. Black skimmers are not necessarily top
16 predators, but you can see that TCPM was also found in
17 the -- in black skimmer eggs as well. So there was -- in
18 the bottom, you can see the TCPM.

19 --o0o--

20 PANEL MEMBER HOH: So we currently are working
21 also California condors. They have a different habitats
22 in the California coast and California inland, that their
23 blood samples have very different chemical profiles in
24 terms of the halogenated organic compounds. And we see
25 that coastal condors definitely have a lot more

1 halogenated organic compounds. And then TCPM and
2 hydroxy-TCPM were also found in the coastal California
3 condors.

4 --o0o--

5 PANEL MEMBER HOH: So I'm switching subject to
6 the drinking water study. The original study was more
7 focused on the efficiency of the advanced water treatment
8 system in one of the water districts in California.

9 And then for comparison for reference points, we
10 also measured -- analyzed the tap water. We collected tap
11 waters at the same location as well. So their treatment
12 products -- water products, and then we collected tap
13 water just in the same site. They're not necessarily from
14 the same water.

15 --o0o--

16 PANEL MEMBER HOH: We did a five times sampling
17 events, but we actually did -- the study focus was more
18 like the water treatment efficiency. So we only collected
19 tap water just twice out of five sampling events.

20 All the sample was triplicates collected. And
21 then the water volume size, one liter. And we used the
22 GCxGC time of flight mass spec. And then we kind of used
23 this -- some -- it's called a statistical compare. It's a
24 kind of data analysis tool.

25 The result was that in our an analysis -- our

1 non-targeted analysis, which is not focusing only
2 halogenated organic compounds, in this case, we look at
3 everything, okay? And we found about 28, 29 compounds in
4 this tap water.

5 --o0o--

6 PANEL MEMBER HOH: And then among these two
7 sample sets, each has a triplicate. So here is 28
8 compounds and 29 compounds. That means they're all found
9 in triplicates at 100 percent, okay? And then among these
10 compounds, only five compounds actually were detected in
11 both sampling events. Okay. So we're kind of wondering
12 like what are those five compounds?

13 --o0o--

14 PANEL MEMBER HOH: Those five compounds were
15 detected in both sampling events. And then we tentatively
16 identified. And then we're able to confirm four compounds
17 out of the five compounds. The one compound was not
18 matched with the authentic standard, which was suspected
19 by the mass spectrum search, but it was not the compound.
20 But the four compounds were confirmed through the
21 authentic standards.

22 We also looked at this compounds, whether they
23 were detected in their -- their treatment system. Just
24 for curiosity, they were not detected in their treatment
25 water system.

1 And the two confirmed com -- among the four, the
2 two confirmed compounds contained halogens. So we're
3 looking at the structures.

4 --o0o--

5 PANEL MEMBER HOH: The one compound was
6 parachlorobenzotrifluoride, and then the other compound
7 was basically hydroxy -- the same compound, but has a
8 hydroxy function.

9 --o0o--

10 PANEL MEMBER HOH: So what we learned from these
11 studies regarding this human biomonitoring. So one of the
12 things that we learned from the multiple marine mammals,
13 and wildlife studies, and especially from California, DDT
14 is still very, very abundant, extremely abundant. And
15 then now, we've been -- we've been working on the DDT
16 measure compounds of the DDT, but we actually more maybe
17 towards thinking about more DDT degradation products,
18 because those marine mammals show that exposure to high
19 DDT degradation products.

20 Another thing is that TCPM and then hydroxy-TCPM.
21 Literature is very thin, almost very, very few studies
22 reported these chemicals. But according to our studies,
23 they're abundant -- they're quite abundant, and we -- we
24 tested their technical product -- DDT technical product.
25 These chemicals are very low concentration. So what does

1 that mean that we found these are very highly abundant in
2 the marine mammals? That means these compounds are
3 possibly -- especially TCPM is possibly biomagnifying,
4 so -- which is something that we may have to think about.

5 Another thing is a recent -- very recent
6 publication, probably within two days or something, ES&T
7 actually published a study that TCPM was found in the
8 sediment samples in California, which is the first study
9 actually confirmed that TCPM was present in the sediments
10 in the California coast.

11 And also a more interesting finding of that
12 study, they found that the dumped barrel of the DDTs in
13 the ocean, which was not -- it was the first -- first
14 discovery. So there's quite media attention I think is
15 happening.

16 So I -- my group -- my colleague, co-worker was
17 contacted by the L.A. County, and also he kind of helped
18 that group to -- about the paper not necessarily
19 co-authored, but supported in some data analysis part.

20 So anyway, so that was kind of interesting part
21 that we found all these chemicals in the top predators of
22 the food chain in the ocean -- California ocean, but
23 another group found them in the sediment samples.

24 What about this kind of monitoring implication?
25 So we probably like also non-targeted screening of

1 chemicals in tap water. We only tested two samples, you
2 know, but we felt like those chemicals were not -- you
3 know, those are legally drinkable water, you know, and
4 those chemicals were never reported in the drinking water.

5 So the current regulation of the EPA regulation
6 or currently regulated list of chemicals didn't include
7 those chemicals as well. So it's something about -- we
8 have to think about the drinking water, tap water in
9 different reasons and then different seasons in
10 California.

11 --o0o--

12 PANEL MEMBER HOH: Okay. So these are my
13 acknowledgments, and then I'm happy to take some
14 questions.

15 (Applause.)

16 CHAIRPERSON SCHWARZMAN: Thank you. We have time
17 for questions.

18 Carl.

19 PANEL MEMBER CRANOR: Just a quick question. And
20 I think you may have mentioned it, but I didn't hear it
21 clearly. There was a lot of DDT dumped off Southern
22 California. It looked to me as an outsider that your
23 method for detecting these was a good method, but I wonder
24 if you oversampled DDT in that region because of the long
25 ago dump that you wouldn't find elsewhere? Do you have

1 any thoughts about that?

2 PANEL MEMBER HOH: Very good question. Yeah.

3 So we actually -- these marine mammals are not
4 just living -- of course, it's Southern California, so
5 that probably passing the area of the huge dump area --
6 dump sites. But we also studied like marine mammals from
7 Brazil too. And then we found TCPM and hydroxy-TCPM quite
8 abundant as well. So we think it's probably -- of course,
9 regionally, we think it's important, but also it's quite
10 abundant -- ubiquitous in the environment.

11 PANEL MEMBER CRANOR: Thank you.

12 CHAIRPERSON SCHWARZMAN: I want to clarify too
13 that we're welcoming questions from the audience as well.
14 It's not just Panel questions. And this is not a formal
15 comment period, so you don't have to fill out a comment
16 card. You can simply raise your hand and I'll call on
17 you.

18 DR. SHE: I'm Jianwen She, California
19 Biomonitoring Program.

20 Very exciting presentation. Just one question.
21 You use the water to monitor the HOC giving the log Kow
22 the very small for this organic -- persistent organic
23 compound in the water. Maybe not a good sentinel metrics.
24 That's maybe one comment. I'm sure you're already aware.
25 So my guess maybe we should use water to monitor some

1 compound with the smaller Kow.

2 PANEL MEMBER HOH: Yeah, definitely. Yeah. So
3 it was quite an eye-opening experience that I always
4 thought was, of course, a particles, and that sediments,
5 and more like a high Kow chemicals, you know, that we
6 probably looking at certain -- you know, not really
7 necessarily water samples.

8 But it -- my experience from this kind of water
9 project it's -- we actually didn't focus only halogenated
10 compounds at this project. And it was -- it was very
11 interesting kind of thing, you know, that -- sometimes,
12 you know, the chemicals do not behave exactly what we
13 expect, you know.

14 CHAIRPERSON SCHWARZMAN: Question.

15 PANEL MEMBER LUDERER: Just a -- thank you very
16 much for that presentation. It was very interesting. The
17 question I had is about the TCPM and the TCPMOH. Are
18 there -- what's known about the toxicity of those,
19 anything?

20 PANEL MEMBER HOH: There's no toxicity data
21 there. Yeah.

22 MS. COOPER-DOHERTY: Anne Cooper-Doherty, DTSC.
23 Just curious minds of what the other two
24 chemicals were in tap water.

25 PANEL MEMBER HOH: Yeah. I think Martha

1 actually -- let me check the slides. The left compound
2 that you kind of informed me that you found on document or
3 something about the carcinogenicity or something.

4 MS. COOPER-DOHERTY: The other two.

5 PANEL MEMBER HOH. Oh, the other -- I'm sorry. I
6 misunderstood. The other two are -- there's some
7 hydrocarbons, but I couldn't remember. I cannot remember
8 what they are. I can tell you later.

9 DR. SANDY: This is Martha Sandy from OEHHA.

10 Thank you very much for this presentation. I
11 wanted to follow up on -- I can't remember the acronym,
12 TCPM and the hydroxy. I'm not aware of them being
13 biomonitored in people. Has anyone looked? Have -- I
14 assume you've looked in the literature, are there any
15 reports?

16 PANEL MEMBER HOH: No. No. There is a couple of
17 studies they found in -- similarly like in some marine
18 mammals or something, but not really human samples at all.

19 DR. SANDY: Thank you.

20 MS. HOOVER: Thank you so much, Eunha, and for
21 being so flexible and doing so much updating. Great talk.

22 We actually did some poking around on TCPM,
23 because we were very curious. And we found that NTP has
24 done a tox profile of those. Did you -- have you seen
25 that profile?

1 PANEL MEMBER HOH: No.

2 MS. HOOVER: Yeah. I mean, they also are finding
3 that there's very little data. They were nominated to be
4 looked at. But one question I had in terms of what they
5 said, and we haven't delved into this, but in addition to
6 being associated with DDT, as you said, they said that
7 they are also reportedly used in the production of
8 synthetic high polymers light fast dyes for acrylic fibers
9 and agrochemicals.

10 Okay. So that's news to you. So we haven't
11 looked into this at all, but I just wondered if you knew
12 of other sources. I understand they're quite widespread
13 in the environment.

14 PANEL MEMBER HOH: Um-hmm. Yeah. That's
15 actually pretty good -- new information to me, yeah.

16 DR. WU: Hi. Nerissa Wu from Biomonitoring
17 California. And thanks that was really interesting.
18 Following up on Martha's comment about biomonitoring in
19 humans. You were looking in blubber and in eggs or were
20 you also --

21 PANEL MEMBER HOH: Yes, I did. Yeah, we did.

22 DR. WU: So are -- can you measure them in serum
23 or are the detection levels not --

24 PANEL MEMBER HOH: Definitely I think so, yeah,
25 but we -- we haven't had a chance to look at the serums

1 yet.

2 CHAIRPERSON SCHWARZMAN: Oliver.

3 PANEL MEMBER FIEHN: Yeah, great. How much
4 material was needed. And, you know, if that is the
5 sentinel, can we perhaps also use human fed biopsies?

6 PANEL MEMBER HOH: That's a very good question.
7 I was thinking exactly about it. I'm using -- I use the
8 marine mammal blubbers about one gram of the blubber. And
9 then some of the studies I even like 0.3 gram or
10 something, you know.

11 CHAIRPERSON SCHWARZMAN: Carl.

12 PANEL MEMBER CRANOR: I have a question. It
13 seems to me that your sampling method might or might not
14 generalize to other water systems, if you had long enough
15 lived fish that transversed up and down them and so forth,
16 and you could -- and they preserved enough blubber to
17 store the material, so that would be one possibility.
18 Maybe used in the Great Lakes even where -- where you have
19 fish that travel around.

20 PANEL MEMBER HOH: Yeah, definitely.

21 PANEL MEMBER CRANOR: And then, of course, and to
22 some extent this has been done how about predator birds,
23 but that's been done. But I like your --

24 PANEL MEMBER HOH: Yeah. Yeah, definitely.

25 That's what we do the California condors. Those are the

1 whole blood, not any fat tissue. But we're able to -- oh,
2 actually, we're able to find the TCPM in the blood samples
3 from condor -- California condors. We also collaborated
4 with June-Soo -- June-Soo Park. And he shared his samples
5 some like bird predators or birds eggs as well. And then
6 we also found the TCPM quite abundant as well. We haven't
7 reported it, but yeah.

8 PANEL MEMBER CRANOR: Those are really widespread
9 then. Yeah, that's interesting.

10 PANEL MEMBER HOH: Um-hmm.

11 CHAIRPERSON SCHWARZMAN: I wanted to ask about
12 you mentioned that you weren't only screening for
13 halogenated compounds. You said when you did this it was
14 non-targeted screening. And I'm assuming that you zeroed
15 in on the halogenated, because that was where some of the
16 interesting findings and surprises came out. Was there
17 anything else that you would want to highlight about --
18 because non-targeted screening is something that we've
19 talked about in here as an interesting way to step away
20 from the problem of the -- focusing on the highly studied
21 compounds and looking at what else is there.

22 PANEL MEMBER HOH: Um-hmm.

23 CHAIRPERSON SCHWARZMAN: Is there anything else
24 that you would highlight from your non-targeted screening
25 that -- not down this line of the halogenated.

1 PANEL MEMBER HOH: Oh, absolutely. So we -- we
2 started with the halogenated organic compounds, because
3 it's -- it's kind of -- it's relevant in terms of the
4 persistence of bioaccumulation. But now we're -- it's
5 more like bioaccumulated things. You know, so we're
6 looking at the high food top predators, you know, the
7 high -- the -- more like humans or something.

8 But we're looking at those environmental samples,
9 even human samples as well probably. And we are actually
10 expanded the non-targeted analysis for non-halogenated
11 compounds as well, which requires more data analysis. But
12 we made it quite streamlined that -- for the data
13 reduction. So we're using like classic groups of
14 chemicals -- groups of samples. So, for example, like I
15 just showed a table that water samples. We always include
16 fill blank water samples, which is the LC-MS grade highest
17 purity water, you know, as a comparison, you know, the
18 water. So that we're -- statistically we can find out
19 what chemicals are there.

20 CHAIRPERSON SCHWARZMAN: I think I probably was
21 confusing in my question.

22 PANEL MEMBER HOH: Okay.

23 CHAIRPERSON SCHWARZMAN: I was mainly asking if
24 there was -- other than the details that you gave us here
25 on the halogenated compounds you identified, were there

1 others that rose to the top of interest that were not
2 halogenated when you were --

3 PANEL MEMBER HOH: Oh, were not halogenated.

4 CHAIRPERSON SCHWARZMAN: -- as the results of the
5 non-targeted screening.

6 PANEL MEMBER HOH: Oh, results of that.

7 Very good question. We kind of found some --
8 the -- more like a UV filter-related chemicals. Yeah, so
9 there's like a -- like a sunscreen related chemicals, yep.

10 CHAIRPERSON SCHWARZMAN: Great. Thank you.

11 Other questions -- yes, please.

12 DR. SHE: Ask a question about data reductions.
13 So sound like halogenated compound is not early because
14 toxicity bioaccumulative and characteristics attract us.
15 Also, analytical chemist we know it's easy to analyze,
16 because isotope profile features.

17 So we use this unscreened technology to do other
18 elements. Will be slight challenge. You already
19 mentioned possible you need different data reduction
20 technology. Can you talk about beyond isotope profile
21 mass deficiencies as a feature you can use to identify,
22 for example, UV filters, how you get data redacted to
23 easily find them?

24 PANEL MEMBER HOH: Okay. So I think your
25 question is using the mass deficiency.

1 DR. SHE: For the halogenated compound, you have
2 the chlorine 35, you have chlorinated 37.

3 PANEL MEMBER HOH: Right, right, right.

4 DR. SHE: You have the bromine 79 --

5 PANEL MEMBER HOH: Right.

6 DR. SHE: -- bromine 81, which have strong --

7 PANEL MEMBER HOH: Right.

8 DR. SHE: -- isotope profile, easily to do the
9 untargeted analysis.

10 PANEL MEMBER HOH: Right.

11 DR. SHE: But for other element, for like sulfur,
12 harder a little bit, because 32, 34 is not typical strong.

13 PANEL MEMBER HOH: Yeah.

14 DR. SHE: Fluorines only one isotope --

15 PANEL MEMBER HOH: Right, right.

16 DR. SHE: So how you handle the other group of
17 chemical without chlorine and bromine?

18 PANEL MEMBER HOH: Very good question. That's
19 what I kind of tried to answer to Megan. So we don't
20 necessarily use that -- the isotope patterns for other
21 compounds. So when we're looking at -- when we widen this
22 non-targeted analysis, we're basically using the mass
23 spectrum and GCxGC retention times. So the peak alignment
24 and mass spectrum comparison. So basically, we all -- one
25 very important thing is we always have to have -- a good

1 study design is very important. So something to compare.
2 You know, for example, like if we have tap water samples,
3 we should have another group of samples to be compared.

4 So, for example, that's what I was talking about
5 LC-MS grade high purity water, you know, as a baseline.
6 And then what else chemicals we can see, you know. So the
7 -- there is a kind of a add-in feature can kind of detect
8 those -- isolate those chemicals.

9 DR. PARK: That was a nice talk. June-Soo Park,
10 DTSC.

11 (Laughter.)

12 DR. PARK: A simple technical question about
13 the -- some -- more acts of the chemicals with hydroxy
14 group. I missed a few -- first few slides. Have you done
15 any treatment to make them more volatile to see para
16 signals? So, if not, is it possible you might have
17 underestimated their detection, relatively, I mean?

18 PANEL MEMBER HOH: Absolutely. Absolutely.

19 We have -- that's why I'm saying that not single
20 instrument can cover whole chemicals, you know, so I'm --
21 I'm still looking at one segment of the probably whole
22 range of chemicals using the instrument -- my data
23 analysis part.

24 June-Soo's point is that more polar compounds
25 probably definitely underestimated by this approach. And

1 we -- that's why we are always talking about the liquid
2 chromatography based non-targeted analysis is important as
3 well.

4 One interesting thing is that we found it's
5 really depending on the matrix type too. So we're -- we
6 are using very clean matrix, like water is -- if treated,
7 water is pretty clean. But we are talking about something
8 like blubber. Blubber is such a complex matrix. So quite
9 hydroxy, some polar compounds survive through the --
10 because of the aid of the matrix.

11 So what happens is that it's like the matrix is
12 pretty much cover the own active sites of the GC side. So
13 those chemicals actually survive through the system. We
14 also found that similar thing in the house dust as well.
15 So we've -- we -- we found all these chemicals quite polar
16 compounds in the house dust extracts using this approach.

17 But then when we got the standards, they're not
18 surviving through the system, you know, so there is a
19 matrix issue definitely.

20 CHAIRPERSON SCHWARZMAN: Go ahead.

21 DIRECTOR ZEISE: Great talk. Just thinking in
22 terms of some of the toxicity characterizations we do.
23 You know, there's a number of compounds that aren't taken
24 into account when we try to do a fish advisory and so
25 forth, because we aren't measuring. We don't have data on

1 them. Probably don't have toxicity data either.

2 But it is interesting that DDE is relatively
3 high. So the question is how much -- if you had a few
4 indicator chemicals like DDE and DDT, what volume of
5 the -- over the mass of the DDT-related compounds would
6 you be capturing?

7 PANEL MEMBER HOH: Very good question. So, you
8 know, the DDT is one of the chemicals heavily studied, you
9 know, for many years. So my -- what I'm suggesting is
10 that now we may need to look at it again, you know,
11 because it's not going away. And then in the old studies,
12 good studies, but it's reflecting the time -- at the time.
13 So maybe certain -- certain chemicals are much more
14 abundant compared to the others. So that's why it
15 concludes -- we conclude -- the studies concluded let's
16 measure these six chemicals, which will cover maybe
17 majority of the DDT.

18 But now, I'm thinking maybe that's not really
19 true. Now, there are all these -- DDTs are degrades, and
20 through multiple ways. And then maybe those measured DDT
21 compounds may not cover the whole majority range. That's
22 what I'm sort of like thinking, based on our studies.
23 Yeah.

24 DIRECTOR ZEISE: And some of the relative
25 toxicity can vary.

1 PANEL MEMBER HOH: Yeah.

2 DIRECTOR ZEISE: So that's another element to
3 look at.

4 PANEL MEMBER HOH: Right. Exactly. That's
5 definitely an important part of relative toxicity as well,
6 yeah.

7 CHAIRPERSON SCHWARZMAN: Thank you so much,
8 Eunha. Well, any other questions for Eunha Hoh?

9 Okay. Thank you. I appreciate it.

10 And I am going to introduce our final speaker
11 from the Panel, José Suárez, who is an assistant professor
12 in the Department of Family Medicine and Public Health at
13 the University of California, San Diego. He was appointed
14 to the SGP by Governor Brown in April of 2017, and José
15 will describe his findings on Persistent Organic
16 Pollutants, their Metabolic Effects and Dietary
17 Interventions to Reduce Body Burdens.

18 (Thereupon an overhead presentation was
19 presented as follows.)

20 PANEL MEMBER SUÁREZ: Okay. Good afternoon,
21 everybody.

22 So I will be continuing on the theme of
23 persistent organic pollutants building on Eunha's
24 presentation. So I'll be talking about POPs. And I
25 brought a mouse, because I realized that you can't see a

1 pointer. So let me know if this actually works, okay? So
2 I'm going to turn this on. Laser pointer. It's on your
3 screens, you can see this?

4 (Yeses.)

5 PANEL MEMBER SUÁREZ: Great.

6 --o0o--

7 PANEL MEMBER SUÁREZ: All right. Apparently, I
8 can't -- I'll have to use both, anyways.

9 (Laughter.)

10 PANEL MEMBER SUÁREZ: Okay. So there we go.
11 I'll be doing this two-handed.

12 So I've been asked to talk about some of the
13 metabolic effects associated with exposures to the
14 different POPs.

15 So the first half of my talk will focus on that
16 and then second half, it will be mainly about existing
17 interventions aimed at enhancing the excretion of POPs.

18 And so then we'll have a little bit of a
19 discussion. We can talk more about it at the end of the
20 presentation than during the discussion section, more
21 about continuing or not POPs biomonitoring. And also
22 talking now beyond regulation, now that there have been a
23 good amount of efforts to regulate persistent pollutants,
24 and then now also transitioning into whether we can start
25 having public health messages aimed at people finding ways

1 to reduce their exposures or enhance the excretion. And
2 that's part of what I'll be talking about in the second
3 half.

4 --o0o--

5 PANEL MEMBER SUÁREZ: So the POPs that I'm
6 talking about here are primarily organo -- organochlorine
7 pesticides, PBDE flame retardants and PCBs. And we know
8 that these are very stable chemicals that have very long
9 half-lives. And in human tissues, it has been found that
10 they can stay for many years, and including decades.

11 So in this case, the half-lives -- if you don't
12 know what a half-life is, it's just the time required for
13 the concentration of a substance to be reduced by 50
14 percent. And so, for example, here, you see PCB-52 having
15 a half-life of 2.6 years in human tissues, or PCB-170 of
16 15.5 years. So these are present in our bodies. We
17 continue to accumulate these. And, of course, we're
18 finding that one of the strongest predictors for
19 concentrations in blood is age.

20 So the older we are, the more we accumulate these
21 chemicals. And really, we don't have a very efficient way
22 of excreting them.

23 --o0o--

24 PANEL MEMBER SUÁREZ: And there has been a good
25 amount of experimental data. And I'm not presenting those

1 data right now, but I can show you some of the
2 epidemiologic data here from two large surveys. One is
3 the Catalunya Health Survey. And then the other one is
4 NHANES here in the United States, in which here you can
5 observe the associations between PCB exposures - In here,
6 it's categorized as quartiles, so higher levels here - and
7 their relationship with, in this case, the outcome is
8 diabetes. So these are odds ratios.

9 So we see that even across any of the weight
10 categories, that increasing levels of PCBs are associated
11 with very substantial increases in the risk for
12 development of diabetes.

13 In NHANES, it was a very similar picture was
14 observed. In this case, what's shown here is insulin
15 resistance. And the exposure here measured were
16 organochlorine pesticides. So it's the same type of
17 analyses across different categories of waste
18 circumference. And the picture is very similar to that.
19 And then when looking at diabetes outcomes, the figure is
20 very similar to this.

21 --o0o--

22 PANEL MEMBER SUÁREZ: Worth mentioning that in
23 addition to this, there have been also investigations
24 finding associations with hypertension, cardiovascular
25 disease, and thyroid hormone alterations.

1 --o0o--

2 PANEL MEMBER SUÁREZ: Additionally, another
3 cohort that has been studied a good amount are -- is the
4 PIVUS study, which are 70 year olds in Sweden. And so
5 they recruited participants where they were 70 years of
6 age, and then they measured POPs levels then, and see how
7 many of those developed diabetes.

8 So firstly, they found that participants that had
9 the highest levels of POPs, if you -- it's equivalent to
10 the upper 60th percentile had seven to eight times the
11 risk of developing diabetes by year -- by age 75.

12 --o0o--

13 PANEL MEMBER SUÁREZ: And then they also looked
14 at other components like lipid components. Finding
15 associations between PCBs and organochlorine pesticides
16 with total cholesterol and LDL cholesterol, and weak or no
17 associations with HDL cholesterol. And that's what this
18 figure here is showing us the association of, in this case
19 PCB-194 with LDL cholesterol.

20 Excuse me.

21 And so there are certain issues of course to try
22 to disentangle the associations between POPs exposures and
23 lipid levels, given that these persistent organic
24 pollutants are very fat soluble. So we can talk a little
25 bit more about that too.

1 --o0o--

2 PANEL MEMBER SUÁREZ: And so I wanted to present
3 a little bit of some of the latest work that we've been
4 doing within the CARDIA study about this topic in
5 particular, which is Coronary Artery Risk Development in
6 Young Adults Study. So this is a study that started in
7 1985 and 1986, when participants were between 18 and 30
8 years of age. And these participants lived in different
9 parts of the country, including Minneapolis, Oakland,
10 Chicago, and Birmingham.

11 And so from within this longitudinal cohort, we
12 conducted a case control study -- a nested case control
13 study in this case, which included 90 cases, in the cases
14 were defined as having developed diabetes or diagnosed
15 with diabetes through year 20 of follow up, and then 90
16 controls that were matched on BMI category who did not
17 have diabetes through year 20 of follow up. It's worth
18 mentioning that none of the participants had diabetes at
19 baseline, so this would be a true incidence within the
20 cohort. But in this case we're looking at a nested case
21 control study.

22 --o0o--

23 PANEL MEMBER SUÁREZ: So as for exposures, so we
24 measured 55 different POPs at the CDC laboratories. This
25 was in serum collected again on year two. And this was

1 collected and stored. This -- so this in 1987 and 1988.
2 But included in this study, we only included the
3 information of 32 POPs that were present in more than --
4 or detectible in more than 75 percent of participants.

5 So that left us with eight organochlorine
6 pesticides, 23 PCBs, and 1 PBB. Remember, this is the
7 time -- this is before there was a mass introduction of
8 PBDEs, so that's why we did not really detect many PBDEs
9 at that time point.

10 And it's worth mentioning, so you keep this in
11 the back of your mind, that the concentrations of POPs in
12 CARDIA at that time were about three to five times higher
13 than similar aged people in NHANES now 2003 to 2004. And
14 so finally, we are looking at glucose lipid metabolism
15 markers for years 2 - that's when the POPs were measured -
16 and also at follow-up years 7, 10, 15, 20, and 25. So
17 these would be a prospective analyses of what happens
18 after the exposure measurement -- or the exposure
19 assessment.

20 --o0o--

21 PANEL MEMBER SUÁREZ: So the first part with POPs
22 glucose metabolism.

23 --o0o--

24 PANEL MEMBER SUÁREZ: Now, I talked about
25 diabetes. But now, I'll be getting specifically into the

1 different markers of glucose metabolism. So again, this
2 is divided by participants that did not have diabetes and
3 participants that did have diabetes. And it's worth
4 looking at the figure here. So these are the different
5 age groups. So the same participants just at different
6 stages of their lives, so keep that in mind.

7 And here, we have a POPs summary score. And I
8 will not go into the details of how that was calculated,
9 but you have access to the papers, I think, on the
10 website.

11 So here on the X axis, we have the POPs summary
12 score. And then the Y axis we have fasting glucose. So
13 there is no association that we are observing up until
14 participants start reaching the fifth decade of life. And
15 then when they -- and the group that's older than 48 years
16 of age, we see an even stronger positive association. So
17 this association here among those that were 40 to 47 is
18 significant, but you can see how much stronger this
19 association is in the participants older than 48.

20 So the same story among participants with
21 diabetes, exactly the same. We see this very strong
22 positive association. And what's interesting is that
23 right around this time is when we started to see that
24 there is an increased risk for a lot of chronic conditions
25 in people. So right after 40, 45, years of age, the risks

1 for cardiovascular disease increases substantially, the
2 risks for cancer, for diabetes, overall mortality. So
3 there's something that happens right around this time
4 period that may be in a way interacting with these
5 different exposures.

6 --o0o--

7 PANEL MEMBER SUÁREZ: So then we looked at other
8 components of glucose regulation like hemoglobin A1C. And
9 we only have information for follow-up years 20 and 25.
10 But you see that same association -- very strong positive
11 associations with greater levels of PCBs having higher
12 levels of hemoglobin A1C.

13 --o0o--

14 PANEL MEMBER SUÁREZ: Then we looked at beta cell
15 function. This is estimated with the HOMA 2 model. And
16 we saw inverse associations, again in this older age
17 group, with lower levels of beta cell function. So the
18 beta cells are the pancreatic cells that would be
19 producing insulin for those non-clinicians. And we see
20 the same associations in participants with diabetes.

21 --o0o--

22 PANEL MEMBER SUÁREZ: So for this part just to
23 summarize, we observed that there were these associations
24 of POPs exposures with glucose metabolism where
25 participants reached to the fifth decade of life. So we

1 observed the associations with fasting glucose HbA1C.
2 Beta cell that I showed you, it didn't show you the
3 insulin sensitivity.

4 So it followed that kind of a similar picture
5 that -- is that of the beta cell function. And we didn't
6 observe any associations with BMI. So this wasn't an
7 obesogen-related alterations in glucose metabolism.

8 --o0o--

9 PANEL MEMBER SUÁREZ: So then we looked within
10 the same study at lipids.

11 --o0o--

12 PANEL MEMBER SUÁREZ: So for lipids -- so first
13 of all looking at associations of POPs in lipids is
14 challenging, because of how lipophilic POPs are. So we
15 analyzed things in two different ways. We truly avoid
16 adjusting for lipid levels in the model, because then
17 you're adjusting for your outcome variable, right, even
18 though you're maybe adjusting for a year two level.

19 So instead, we approached it through the other
20 way to typically analyze these compounds, which is to
21 compare either the wet weights or then lipid standardized
22 levels of POPs. So the lipid standardization is simply
23 the wet weight divided by the total lipid content at that
24 time point. So we did both analysis.

25 So here, we can observe that the higher

1 concentrations in this case of PCBs were strongly
2 positively associated with cholesterol. And there was no
3 age effect as we observed before. So it's pretty
4 homogeneous over time what we're observing there with
5 associations.

6 The same with triglycerides. So the stronger
7 blue line here is the average overall. And again, these
8 are the same participants over -- just at different points
9 in their lives.

10 --o0o--

11 PANEL MEMBER SUÁREZ: For LDL cholesterol very
12 strong positive associations. But not so for HDL
13 cholesterol. And this was also observed in the PIVUS
14 study, where there was no association -- perhaps a
15 U-shaped association with the exposure.

16 --o0o--

17 PANEL MEMBER SUÁREZ: So additional pieces that I
18 did not show is that also oxidized LDL was very strongly
19 associated, very similar so as LDLs were. And then the
20 cholesterol HDL ratio, which from -- at least from the
21 lipid point of view is one of the constructs that more
22 strongly predicts cardiovascular disease. So it's worth
23 highlighting that as well.

24 And so we did not observe any associations with
25 BMI, but we did observe that participants that had higher

1 BMIs had stronger associations, so there is BMI effect
2 modification that we did notice.

3 And finally, we did the same analysis with
4 organochlorine pesticides. The associations were only
5 present in the wet weight analyses and not in the lipid
6 standardized. So for that reason, I think that they're
7 probably not related to the lipid outcomes as PCBs were.

8 --o0o--

9 PANEL MEMBER SUÁREZ: So now this is the
10 beginning of the second part of the talk, which is about
11 interventions to reduce body burdens of POPs, which I
12 think is very exciting concept in itself, very
13 understudied in the field. Really, and you'll show that
14 -- you'll see that all of the data that I presented here
15 come from pilot studies, and very small pilot studies too.
16 But I think this is something that we need to start
17 focusing on.

18 And currently, it is not really understood.
19 There's not like a standard regime as to what we can do to
20 reduce levels of these persistent organic pollutants.

21 --o0o--

22 PANEL MEMBER SUÁREZ: So some of the pilot
23 studies include bile acid resins, like cholestyramine. So
24 these types of medications were first introduced as
25 cholesterol-lowering medications. And before statins,

1 these were some of the main tools that were used to
2 decrease cholesterol levels in participants.

3 So what they do is they bind to bile acids, and
4 do not let the reabsorption of these bile acids that have
5 a high content of cholesterol. And so somebody thought
6 that maybe this could be a way to -- a way in which POPs
7 may be excreted from the body through bile.

8 So they did a brief trial with cholestyramine for
9 48 to 72 hours. And what they did was they measured
10 chlordecone, which is an organochlorine pesticide, and see
11 if there were differences in the excretion. And they
12 found that after just for 48 hours to 72 hours, the
13 excretion -- the fecal excretion increased 7-fold.

14 And also they observed that the output of
15 chlordecone was between 10 and 20 times greater in bile
16 than it was in feces. So this indicated that perhaps some
17 of the bile -- some of the POPs would be -- then
18 reabsorbed in the small intestine. And so that's
19 something that then shed light to further work later on.

20 --o0o--

21 PANEL MEMBER SUÁREZ: Then with colestimide,
22 which is another bile acid resin, they did a six-month
23 trial in this case. Again, this is a very small study.
24 You can see this -- these are actually the data. These
25 are the nine participants that they did this upon. And

1 you can see here the rate of reduction, if you want to
2 look at that later on.

3 But overall, there was a decrease in -- of 17
4 percent in the concentrations after using. So this is not
5 a control trial. It's just a pre-post with the same
6 individuals. Again, this is a pilot study, but it
7 provides a good amount of information of things that we
8 could potentially be targeting. Now, that was for
9 dioxins. For PCBs, there was an overall decrease of 14
10 percent after this trial.

11 --o0o--

12 PANEL MEMBER SUÁREZ: And then there was another
13 interesting trial that was -- that used olestra, which is
14 a synthetic fat that does not get absorbed. And so
15 what -- what -- this was a -- I thought this was an
16 interesting approach to try to find dietary ways in which
17 people can find -- could potentially reduce their POPs
18 exposures.

19 And so this trial was a one-year trial, in which
20 they compared a group that was treated with olestra. This
21 was administered as chips. I don't know if you recall, we
22 used to be able to buy fat-free chips. And so they used
23 the Pringles brand. And then they also fed the placebo
24 control with just standard chips that taste exactly the
25 same.

1 So they did this intervention for one year. This
2 was announced in Alabama. This was a location of which
3 there was manufacturing of PCBs for over 40 years. So
4 they recruited participants of 60 years of age, and 62
5 percent were female.

6 And overall, they found that the concentrations
7 of POPs as would be expected was higher than those of
8 NHANES, because of the PCB manufacturing plant.

9 So again, the intervention were 12 Pringles in
10 one year -- per -- excuse me, 12 Pringles per day for one
11 year, just to clarify, not the other way around.

12 (Laughter.)

13 --o0o--

14 PANEL MEMBER SUÁREZ: So then here I think this
15 figure says a lot, right? So this is the standard that
16 received just the regular placebo, or regular chip. And
17 here is the group, the concentrations of PCBs for the
18 olestra group. So for PCBs, there was twice the decrease
19 in the levels over this one-year period. So there was a
20 decrease of four percent in the placebo group versus an
21 eight percent in the Pringles group.

22 And for DDE, there was a three times the
23 decrease. So it was a five percent decrease in the
24 placebo versus 16 percent in the olestra group.

25 --o0o--

1 PANEL MEMBER SUÁREZ: So this was interesting.
2 And then the other piece -- of case, there's another way
3 that we, by accident, have found that we -- that we can
4 excrete POPs is through breastfeeding. But, of course,
5 you can think about where those POPs end up going to our
6 most vulnerable. So this is an issue -- societal issue
7 that we continue to accumulate these chemicals that we've
8 been producing, and now we're passing it onto our
9 children.

10 And so some of these studies - in this case is
11 one in Mexico - where they measured POPs levels in breast
12 milk, finding that the breast milk for the first child had
13 substantially higher concentrations of DDE than that --
14 than the breast milk for the second child, and the breast
15 milk for the third child.

16 So it seems like this works, but not necessarily
17 something that we want to be doing as a society. But yet,
18 the message is still that it is good to breastfeed
19 nonetheless.

20 --o0o--

21 PANEL MEMBER SUÁREZ: So a little bit of the work
22 that I've been doing in this regard, so we started -- we
23 wanted to do a replication of the olestra piece and add an
24 additional component which I have been very excited about,
25 which was nuts. So we -- in 2016, we carry on this

1 clinical trial, which we called the "No-POPs Trial, the
2 Nuts and Olestra for Persistent Organic Pollutant
3 Reduction Trial" at UCSD.

4 And we did this at the Moores Cancer Center. And
5 here are our co-investigators, and collaborators, and some
6 of the students in the project.

7 --o0o--

8 PANEL MEMBER SUÁREZ: And so the objectives were
9 to compare three different groups. So we had a nut
10 intervention group, compare that to an olestra again with
11 chips, and that compared to a placebo group. And I'll
12 tell you what the mechanism is of action in just a minute,
13 if you're thinking about.

14 But the main objectives here were to see if there
15 would be, first of all, increases in the fecal excretion.
16 And the way that was conceived was that we would be
17 measuring -- collecting stool and asking the participants
18 to send us their stool samples before the intervention.
19 And then four days later, because we knew that the
20 compliance would be highest at that point, that we
21 expected, if there would be an effect, that we would be
22 able to see it just after four to five days.

23 And then the other endpoint, we're looking at
24 decreases in levels of POPs in plasma, which would be
25 measured at baseline, and then after six months of this

1 intervention. And so our dosage was higher than that of
2 the previous -- the Jandacek publication by about 25
3 percent. But at the same time, it was a shorter
4 intervention. So having a six-month inter -- dietary
5 intervention is long enough. And it could be tiresome for
6 participants too, right, if you're having to consume a
7 good amount of nuts or a good amount of chips.

8 So we recruited and included in the study 46
9 healthy adults between 50 and 70 years of age in San
10 Diego.

11 --o0o--

12 PANEL MEMBER SUÁREZ: So the main mechanism of
13 action would be that POPs are fat soluble. So they're
14 present everywhere -- anywhere pretty much where there is
15 fat. And so bile salts, as I mentioned earlier, have a
16 high amount of cholesterol. And so while they get
17 excreted -- the bile salts get excreted, and they have
18 POPs attached to it too, and that's what some of the
19 earlier research showed.

20 And then as the bile salts go down the small
21 intestine, it continues to be reabsorbed to the point that
22 only five percent of bile salts are actually lost in
23 feces. That means that 95 percent is reabsorbed. So
24 there's this enterohepatic circulation. So the whole
25 point is how can we break this enterohepatic circulation?

1 So at least with the olestra, because it is a
2 synthetic fat that does not get reabsorbed, the thought is
3 that the POPs that would be present in the bile salts
4 would then start attaching to the olestra. And the
5 olestra does not get absorbed. It gets excreted in the
6 feces.

7 Now, the nuts, I can't really remember when I had
8 a moment of, wow, let's study nuts. But I recall that
9 with nuts, we know that -- well, at least the ones that we
10 selected for this particular study have a high fat
11 content, and about 20 to 25 percent of the calories do not
12 actually get absorbed.

13 So the thought was that maybe it could follow a
14 similar mechanism as olestra. But, hey, even better, not
15 having olestra, let's have nuts, so that can have a more
16 profound message that could be more accepted by the
17 general population.

18 --o0o--

19 PANEL MEMBER SUÁREZ: And so for this study, we
20 collected a bunch of biospecimens. And the measurements
21 of POPs in serum and in stool were done at Eunha Hoh's
22 laboratory in San Diego State. So she was terrific in
23 developing the methods to measure this in stool, which is
24 not an easy thing to do.

25 And so these are the different organochlorine

1 PCBs and PBDEs, at least the numbers that we have here,
2 that were being measured. And we did a few different
3 measurements over multiple points in time. We even did
4 DEXAs to look at body fat changes. And I can talk to you
5 a lot more about that. But given the time constraints, I
6 will not get into the reasons why we did these
7 measurements.

8 But unfortunately, I -- we are very close to
9 publishing these findings, so we're not releasing these
10 yet. Stay tuned. I think they're very interesting
11 findings. And later this spring, we hopefully will have a
12 publication that we can share.

13 --o0o--

14 PANEL MEMBER SUÁREZ: So in conclusion, and a
15 little bit of the discussion now of the whole
16 presentation, a couple of things is that, at least from
17 the experimental data that I did not show, but from the
18 epidemiological data that I did present, that there is
19 evidence that POPs can alter glucose and lipid metabolism
20 in adults.

21 I think there's still rationale to continue the
22 biomonitoring of POPs, even though these have been banned
23 for decades in some of the cases like organochlorine
24 pesticides, or within the last 10 years the phase-out of
25 PBDEs. Yet, we still continue to find these. And in

1 fact, in NHANES, they just released the latest update to
2 their tables of the biomonitoring a few weeks ago, and
3 they found that for brominated flame retardants, like
4 PBDE-47, it was present just about in everybody in NHANES.

5 So I think that this -- it still make sense that
6 this is present in the food webs that we continue to have
7 this recirculation of these chemicals.

8 Then the next piece, of course, is the importance
9 to continue the regulation of persistent organic
10 pollutants, and not just these, but any other persistent
11 pollutants. And I think that there -- there is a pretty
12 good -- that the interventions, I should say, or
13 regulations have been pretty successful, as we can see
14 that the concentrations of POPs in blood, and also in
15 breast milk have been decreasing ever since the ban in
16 2006 with the letter has been happening. At least here in
17 California, there's been a decrease in the last decade or
18 so.

19 And then the last piece is I think one that's
20 needed. As you saw, there were a lot of pilot studies
21 about how we can help people excrete these POPs. And
22 maybe we can start thinking about other ones,
23 perfluorinated compounds for example. Again very, very
24 pervasive. A lot of people have them, and we don't really
25 know how to -- what to do to excrete these chemicals.

1 --o0o--

2 PANEL MEMBER SUÁREZ: So I will leave it at that.
3 Here's the acknowledgements to our funders and some of our
4 collaborators for the work that we've been doing at the No
5 Props Trial and the CARDIA study.

6 Thank you.

7 (Applause.)

8 CHAIRPERSON SCHWARZMAN: Great.

9 Questions for José?

10 Sara.

11 MS. HOOVER: Sara Hoover, OEHHA.

12 Thank you so much for that talk. So my first
13 question is what kind of nuts?

14 (Laughter.)

15 PANEL MEMBER SUÁREZ: Well, the two that we do
16 have -- that have been studied a lot were almonds and
17 walnuts. So those were the ones that we have information
18 about, the non-reabsorption piece. So we're looking for
19 nuts that have a higher fat content in that particular
20 case. Walnuts is -- checks that box. But then almonds
21 have also been studied. So both of these -- I think it's
22 almonds about 24 percent of the calories are not absorbed,
23 and for walnuts, 21 percent of the calories.

24 Like pistachios have also been looked at, but
25 pistachios are mostly absorbed actually. I think it's

1 something like four percent is not absorbed.

2 MS. HOOVER: And is that related -- you were
3 saying -- is it related to the relative fat content the
4 absorption or are there other factors about the nuts
5 that --

6 PANEL MEMBER SUÁREZ: No, there are other
7 factors. Part of it could be related to the size of the
8 particles. So they've looked at studies in which they ask
9 participants to chew like 10 times literally, and then
10 swallow it, so they're like bigger chunks. Or they asked
11 them to chew it for like a whole minute and then see what
12 happens to the absorption.

13 So they're finding that the more finely they
14 chew, that the more it is absorbed. For that case, nut
15 butters don't work, because they're completely -- don't
16 work for this purpose, I would say. They get mostly
17 absorbed because of how they're processed.

18 DR. SANDY: Martha Sandy, OEHHA. So you had a
19 list of couple POPs that you were analyzing to see if the
20 levels went down. And I just wonder if you see an effect
21 with those you'd probably extrapolate to other POPs that
22 are -- have similar lipid content probably. And I wonder
23 if you were planning to do an exploratory measurement of
24 TCMP?

25 (Laughter.)

1 PANEL MEMBER SUÁREZ: I'll think I'll defer that
2 one to Eunha, because she's running the -- but I think for
3 the time being no. So this was -- again, this was the
4 pilot version, and then we're preparing for the larger
5 version of that. So there are certain chemicals -- so the
6 ones that would be more fat soluble would be the ones that
7 we'd be, through an intervention like this, more likely to
8 see an effect.

9 We would also be thinking about looking at
10 perfluorinated compounds, but they're not fat soluble. So
11 that could be more of a negative control. However, there
12 may be other factors that may enhance the effect. So not
13 just the fat solubility, but there's some research in
14 which they're finding that nuts are high in polyphenols,
15 and that may also alter the metabolism, enhance the
16 degradation of some of the PBDEs in particular.

17 So it's still very in the fringes of what we
18 know, in general, but I think it's something worth
19 exploring, not just with these POPs, but with some other
20 kinds and see -- maybe it works for some, and probably it
21 doesn't work for others, but worth looking at for sure.

22 DR. SHE: Very, very interesting presentation
23 about the intervention. And from analytical chemist point
24 of view, like we use active carbon to absorb the
25 2,3,7,8-TCDD. And then because the carbon structure have

1 layer, so there's the coplanar TCD -- PCDD and the PCDF
2 that tended to be retained very well by active carbon.

3 And then all coplanar ones are more toxic ones.
4 So I'm not sure from clinic point of view this active
5 carbon I saw them at toxic -- acute toxicities from dog
6 animals. But for the chronic exposure like this is do you
7 think they can rebalance or remobilize the POPs from lipid
8 due to be absorbed by active carbon. Does it make any
9 sense, because the structure that strongly absorb the
10 co-planar dioxin.

11 PANEL MEMBER SUÁREZ: So if I understand
12 correctly, you're talking about say activated charcoal,
13 administration of activated charcoal as a way to excrete
14 POPs, is that the question?

15 DR. SHE: Yes.

16 PANEL MEMBER SUÁREZ: Yeah. So I think they have
17 definitely thought about that. And I think there's a
18 group that has -- looked specifically at POPs in that --
19 showing that it did work a little bit. Again, this is all
20 very small pilot studies.

21 But from just the theoretical point of view that
22 you mentioned is right, so that's something that could
23 work, of course. I don't know how many of you have tried
24 activated charcoal.

25 (Laughter.)

1 PANEL MEMBER SUÁREZ: It is like you're drinking
2 tar, right? It's something that's not the most exciting
3 thing to take. But to your point, yes, I think it's a
4 very exciting point right now is we should find different
5 ways in which we can start having a public health message,
6 right? So maybe if you want to reduce your exposure to
7 pesticides, perhaps eat organic products, right? If you
8 want to lower your concentrations of POPs, perhaps eat
9 nuts or have olestra, or maybe other things. You know,
10 we're finding that there are a lot of benefits of algae,
11 for example. There may be a lot of other things that --
12 in the diet that may be helping us with this. So I think
13 it's something worth exploring.

14 DR. SHE: Maybe overheat your wallet.

15 (Laughter.)

16 CHAIRPERSON SCHWARZMAN: Question. Oh, Sara.

17 MS. HOOVER: Please.

18 CHAIRPERSON SCHWARZMAN: No, go ahead. I was
19 searching for questions.

20 (Laughter.)

21 MS. HOOVER: That was -- I'm really glad you're
22 looking into nuts. That seems like a great end -- I'm
23 just curious, was that your light bulb? Because that
24 seems like -- that's really impressive. I mean,
25 congratulations on that light bulb moment. That's really

1 exciting.

2 PANEL MEMBER SUÁREZ: Thank you. Thank you.

3 MS. HOOVER: So my question is I always -- I've
4 heard about the olestra ideas before, and it was -- it
5 gave me concern, because I think olestra itself could be
6 potentially problematic health-wise. Do you have any
7 concerns about olestra? I know, you're looking into nuts
8 yourself, but just wondering about your perspective on
9 that.

10 PANEL MEMBER SUÁREZ: Yeah. So for olestra,
11 unfortunately, it got a pretty bad wrap when it came out,
12 right? So it was released and the way Procter and Gamble
13 wanted to market it was a substitute for oil, so that you
14 would go and fry your own chips, or fry your chicken with
15 olestra. But then they released it to the public and, of
16 course, there was the bad side effect of diarrhea, which
17 even worse was --

18 (Laughter.)

19 PANEL MEMBER SUÁREZ: The label said, this is
20 verbatim, it was, "Anal leakage may happen." That's how
21 it was --

22 (Laughter.)

23 PANEL MEMBER SUÁREZ: That's how it was framed.
24 So, of course, that scared most people.

25 (Laughter.)

1 PANEL MEMBER SUÁREZ: But as anybody would be
2 scared, right?

3 (Laughter.)

4 PANEL MEMBER SUÁREZ: But if you look at the
5 products that are being used right now, for example,
6 maltitol or xylitol, they have a very high -- or they're
7 very strongly -- they could be inducing to diarrhea if you
8 have a good amount of that too. So the way this has been
9 perceived by society is a little bit different. Sadly,
10 that was a failure of Procter and Gamble of doing it. So
11 then what they did was they restructured olestra so it
12 would be a solid at body temperature. And that eliminated
13 all of the GI discomfort.

14 So at least in our study, participants from the
15 regular chip versus the olestra chip had no differences
16 with GI issues. If anything, it was the nut group. We
17 had two of our participants drop because of GI issues, of
18 high consumption of nuts. So in that regard, that would
19 be one of the things.

20 The other piece is the amount of absorption with
21 olestra. So it is a synthetic fat that does not get
22 absorbed. So there are certain vitamins like A, D, E, K
23 that are lipid soluble. And so that was the concern that
24 maybe it would be a decrease in the absorption of this.

25 So what Procter and Gamble decided to do was then

1 to supplement everything with A, D, E, K, to reduce that.
2 So -- but again, you know, this is one of those things
3 that I'd be far more excited about the nuts piece than the
4 olestra.

5 DR. WU: I wanted to follow up on that. This is
6 Nerissa from Biomonitoring California. This is great.
7 And, you know, there's always this question of what do you
8 tell people, if they ask me what do I do if I am exposed?
9 So this is an exciting direction to go in.

10 I did have a question about the volume of nuts.
11 And with any intervention, you know, there's this whole
12 idea of like how do you keep people in compliance,
13 especially if it's over a long period of time. Did you
14 have participant management? Like, did you have people
15 checking in with them to make sure they're complying. You
16 mentioned a couple people dropped out because of the
17 volume of nuts. What volume of nuts are you talking
18 about? And was there other, I guess, kind of coaching to
19 get people through the intervention in tact?

20 PANEL MEMBER SUÁREZ: Yeah. So these are very
21 good questions about the methodology in general. Where to
22 begin?

23 So we had -- our research coordinator and
24 dietitian was fantastic. So we had measure -- we would be
25 bringing in the participants to tell us if they had any

1 issues, and also to give them their three-week dosage. So
2 we have them come every three weeks. And in that case, we
3 would see how much they've been eating. And part of it
4 also is that we wanted to make sure that they weren't
5 changing their weight. So there was a lot of coaching.
6 And you're getting these extra calories, but we want to
7 make sure that that's being compensated. Now, it's --
8 there are a lot of internal compensation mechanisms if
9 you -- if eat a lot of one thing, then you're going to
10 reach satiety than if you were to be eating something
11 else.

12 So that substitution wasn't much of an issue, and
13 we didn't see much weight gains or weight losses in the
14 participants. What we did see though were changes in the
15 metabolic patterns among participants that were having the
16 regular chips, versus the olestra chips, versus the nuts.

17 So the people taking nuts really benefited quite
18 a bit in the lipid components, things like there were --
19 they had higher HDL levels. Cholesterol levels were about
20 the same, maybe even a little bit higher, but the
21 cholesterol was mainly driven by the increase in the HDL
22 levels. Triglycerides went down. LDL cholesterols went
23 down. And different effects were observed with the other
24 groups.

25 So the compliance pieces are -- is a difficult

1 one. So you asked about how much, so we were
2 administering about three-fourths of a cup of nuts. And
3 for the olestra I think there were 24 chips, which equated
4 to -- I can't remember the exact, but the publication is
5 going to come up pretty soon. I will tell you that.

6 DR. WU: These will be daily doses.

7 PANEL MEMBER SUÁREZ: So, of course, there was a
8 lot of interest in the chip group. People loved chips.
9 So they were thrilled to be in either the olestra chip or
10 the regular chip. There were some people that were just
11 happy. The nuts -- you know, the healthier options, some
12 people were excited, and some people were not. So we had
13 to get really creative of how to do it. So we created
14 different recipes. We, of course, asked participants not
15 to turn them into nut butters, but --

16 (Laughter.)

17 PANEL MEMBER SUÁREZ: -- you know, you can
18 have -- you know, prepare salads with that, or mix it with
19 M&Ms to have a trail mix. And, you know, we're trying to
20 get as creative as we could. Yeah. So compliance, of
21 course, was -- is always an issue with a six-month dietary
22 intervention.

23 DR. SHE: I have a quick question. So you always
24 pre-screen the nuts, because nuts may be exposed to
25 pesticide, right?

1 PANEL MEMBER SUÁREZ: Excuse me, lard?

2 DR. SHE: You use some nuts, right? Nuts?

3 PANEL MEMBER SUÁREZ: Oh yeah.

4 DR. SHE: And then -- I mean, when you do this
5 intervention, you do prescreening, because they can have
6 exposed to pesticide.

7 PANEL MEMBER SUÁREZ: Ah-ha, you're asking some
8 wonderful questions. So we -- we did. We sampled some of
9 the nuts. We froze them and then, at Dr. Hoh's lab, she
10 measured the -- some of the walnuts and almonds that we
11 administered. And so the findings were that there were no
12 or very low levels, but maybe Eunha should answer this.

13 PANEL MEMBER HOH: Yeah. We tested them for the
14 POPs though, not other pesticides though. It was
15 undetectable. Yeah. So we actually had concerns about
16 whether the nuts could contain those POPs, you know, and
17 then could increase the exposure or something, yeah.

18 PANEL MEMBER SUÁREZ: We didn't do that
19 systematically necessarily.

20 PANEL MEMBER HOH: Right.

21 PANEL MEMBER SUÁREZ: It was just at random. So
22 we don't know if all the batches -- if that's
23 representative of what we fed the people, but at least
24 there was one attempt.

25 CHAIRPERSON SCHWARZMAN: We have two Panelist

1 questions, Ulrike and then Veena.

2 PANEL MEMBER LUDERER: One following up on that.
3 Were they organic nuts or were they conventionally grown
4 nuts?

5 PANEL MEMBER SUÁREZ: They were conventional.
6 Yep.

7 PANEL MEMBER LUDERER: Okay.

8 PANEL MEMBER SUÁREZ: I don't know how many
9 organic nuts -- how easily -- is it pretty easy to find?

10 PANEL MEMBER LUDERER: Yeah.

11 PANEL MEMBER SUÁREZ: I don't -- so then the next
12 question is I don't know how different it is, even organic
13 versus not, because remember this is -- so what you'd be
14 looking would be at organochlorine pesticides primarily.

15 And I think, if I recall some of the studies that
16 came out of comparing organic versus not for particular --
17 specifically for organochlorine pesticides was that there
18 wasn't too much of a difference. Perhaps somebody else
19 has some more updated research about these. But because
20 these are more legacy ones, they would be present in the
21 same area just about, so -- but worth looking at, I think,
22 nonetheless. But somebody had looked at just regular
23 diets, I don't know if they looked at nuts specifically,
24 so I can't tell.

25 PANEL MEMBER LUDERER: My other question was

1 whether there are any epidemiological studies out there
2 that suggest that diets higher in nuts that those people
3 have lower concentrations of these legacy organochlorine
4 pesticides in their bodies?

5 PANEL MEMBER SUÁREZ: Not that I know of. But at
6 least in CARDIA, though, that's a very good point. In
7 CARDIA, we do have food frequency questionnaires, at least
8 in the earlier years, and we could look at that. And we
9 have -- I mean, we can look at other things, not just
10 nuts, but looking at fish consumption, for example. So
11 that would be very interesting in itself. So we could
12 potentially dig up some of that.

13 CHAIRPERSON SCHWARZMAN: Veena.

14 PANEL MEMBER SINGLA: Thank you for that
15 presentation. Super interesting.

16 So my question is about what -- when you look at
17 the -- like exposure patterns for like PCBs or PBDEs, at
18 like -- following over time after, you know, bans and
19 phase-outs you see an initial steep decline in exposures,
20 which then kind of plateau off. We see that with PCBs.
21 And we're starting to see it with PBDEs, because -- well,
22 because they're POPs, right? And as long as there's
23 environmental sources, they'll continue to circulate in
24 the food chain. And with PBDEs, there's even still
25 remaining indoor sources where people are exposed.

1 So I wondered what your thoughts were about if
2 these interventions could be successful, which I think is
3 really exciting, would people be able to maintain the
4 lower levels if there's ongoing exposure sources in the
5 environment?

6 PANEL MEMBER SUÁREZ: Right. I mean, that's a
7 very good point. So we see that the rate of decline
8 decreases -- the actual amount decreases over time,
9 because of the very long half-lives. And then there's
10 that renewing background information. These are very good
11 points. And I don't know if -- perhaps an intervention
12 like this could only be really successful in those people
13 that have higher contents, which tend to be maybe
14 occupationally exposed, or older people tend to have much
15 higher levels. And that's one of the reasons why we focus
16 on the 50 to 70 year olds. And perhaps the interventions
17 become less and less effective the lower the
18 concentrations are, you know, for sure, right.

19 CHAIRPERSON SCHWARZMAN: Other questions from
20 Panel or audience?

21 If not, we can take our break early. And so
22 we're going to have a 10 -- 15-minute break. We'll start
23 at -- okay. We'll break as if -- so we have a 20-minute
24 break, and we'll start back right at 3:15 with -- and this
25 is our chance to have the full conversation that we've

1 been sort of promising all day the discussion of Program
2 priorities based on the input from the Panel member
3 presentations that we've had.

4 (Off record: 2:53 p.m.)

5 (Thereupon a recess was taken.)

6 (On record: 3:14 p.m.)

7 CHAIRPERSON SCHWARZMAN: All right. Thanks,
8 everyone, for coming back promptly. And this will be the
9 beginning of the end --

10 (Laughter.)

11 CHAIRPERSON SCHWARZMAN: -- of the final session
12 of today's meeting. But it's the part where we get to
13 have a conversation, which is fun, and reflect on the
14 input we've had through the rest of the meeting.

15 So our main goal for this discussion session is
16 to identify both near-term and longer-term Program
17 priorities, in light of the presentations that we've heard
18 today from the -- our Panel members about their research.

19 So we have a few discussion slides here that are
20 meant to remind you of some of the key items that kind of
21 arose in each of those research talks.

22 --o0o--

23 CHAIRPERSON SCHWARZMAN: And we'll talk through
24 them, and then a little bit about chemical selection, and
25 then have an open discussion. And I'm -- so if you have

1 example, conducting non-targeted screening work on paired
2 drinking water samples and examining seasonal differences.

3 And then to return to José Suárez's talk on the
4 importance of continued monitoring of persistent organic
5 pollutants and whether biomonitoring can help understand
6 the link between exposure to POPs and effects on glucose
7 and lipid metabolism; identifying ways to reduce POP
8 exposures and body burden through intervention; and is
9 there a way that California Biomonitoring can contribute
10 to those efforts or those lines of inquiry.

11 And I think we have one more here -- slide
12 here --

13 --o0o--

14 CHAIRPERSON SCHWARZMAN: -- about thinking about
15 chemical selection. So there were several ideas that came
16 out. Some of the -- there's been some -- so this is I
17 think a pooling of ideas that has come from multiple
18 sources. This isn't just from today's presentations. And
19 some of today's presentations kind of reflected on them.
20 For example, some of the other halogenated compounds that
21 are coming to our awareness, are there -- should we be
22 looking at other fragrance compounds or cosmetics
23 compounds than what has been monitored already --
24 biomonitored.

25 The consideration of naming PCBs as a group,

1 which as I understand it, there are many PCBs on our
2 current designated chemical list, but they are not
3 actually named as a group, because they reflect how CDC
4 has PCBs on the list. They're not actually listed as a
5 class in the way that would include all of the PCBs that
6 are showing up, for example, as contaminants of very
7 synthetic processes and things, if I'm understanding that
8 correctly.

9 Other suggestions that have been received
10 including -- include some chemicals used as UV filters,
11 which, of course, we heard about also in Eunha Hoh's talk,
12 some alternatives to plasticizers, and quaternary ammonium
13 compounds and some selected rubber compounds.

14 And the asterisks here indicating previously
15 screened doesn't -- isn't meant to say that they've been
16 treated exhaustively or studied comprehensively by
17 Biomonitoring California, just that there have been times
18 where those compounds have been targeted in studies.

19 One other thing I wanted to say just about the
20 class notion, because of a conversation I had in the break
21 with Carl Palmer, who couldn't be here because of all the
22 competing meetings today, but sort of wanted to reflect
23 specifically to our process on -- from the meetings that
24 he was in today, he was reflecting on the power of
25 Biomonitoring California having designated PFASs as a

1 class, and how -- he was reflecting how that has really
2 enabled many other processes, including in the Safer
3 Consumer Products Program, but also for the Water Board,
4 and just wanted to highlight that even when naming PFASs
5 as a class, it helps the Biomonitoring California Program,
6 but it has these impacts that go far beyond it.

7 And so I think that's encouragement for thinking
8 about the -- the sort of intellectually defensible way to
9 name classes, irrespective of our ability as a Program to
10 actually measure them all right now.

11 But if it makes sense to name a class, because it
12 includes compounds that should be grouped together, then
13 that's maybe something that we should be considering for
14 all of its other potential impacts, even when we don't
15 have the resources to assess them all right now.

16 So that's maybe the thought that I'll start us
17 off on. And again, the point here is just to reflect
18 based on today's presentations and other thoughts that
19 you're having on Program priorities, and Sara has
20 something to add.

21 MS. HOOVER: Thanks, Meg. Sara Hoover, OEHHA.

22 I just wanted clarify one -- actually two
23 interesting things about this slide. One is this was
24 prepared long before I saw the input from today's talk.
25 And so it's really interesting to realize that things we

1 were interested in are also being fed in by others. And
2 some suggestions I received from just other stakeholders.
3 So that makes it even more powerful to realize that these
4 are really important items.

5 The other thing I wanted to just clarify, and I'm
6 sorry, I didn't make this clear on this slide, but the
7 star "previously screened" means our preliminary screening
8 process that we do for the Panel, which is we have not
9 measured these in Biomonitoring California studies. All
10 we've done -- I mean, some of them we have, right? So
11 benzophenone-3, for example. But we've looked at them in
12 the past as possible chemical selection items.

13 And as you already explained, that doesn't mean
14 we've -- we're happy to look at them again. And that's
15 why they're on this list.

16 CHAIRPERSON SCHWARZMAN: Thank you.

17 MS. HOOVER: Sorry, one last thing. I know I owe
18 all of you also our class papers. So Gail Krowech and I
19 had prepared with Gina Solomon and others an explanation
20 of the class concept and that we kind of pushed forward,
21 and pioneered, and implemented. And I'm going to send you
22 guys all that paper, because that -- that is our intention
23 as we go forward to always try to look at things as
24 classes for efficiency purposes.

25 And we have that opportunity as biomonitoring,

1 because we're not regulatory. You know, that gives us a
2 little bit more flexibility to be on the cutting edge with
3 that sort of thing.

4 CHAIRPERSON SCHWARZMAN: Which I think -- the
5 only reason I went into that is because I just wanted to
6 reflect on how -- and I see this too. I see it
7 reverberate through many other programs, and that the
8 Program serves this scientific function that's very
9 helpful beyond whether it results in biomonitoring.

10 Comments to get us started?

11 PANEL MEMBER QUINTANA: I had a question.

12 CHAIRPERSON SCHWARZMAN: Yeah. Jenny, go ahead.

13 PANEL MEMBER QUINTANA: So I know you said this
14 earlier. I'm sorry. But the list that you passed around
15 was a subset of all the analyses you can do, right? How
16 was the subset chosen? Because some stuff -- I was
17 disappointed not to see here like 1-nitropyrene. So could
18 you comment on this. Am I looking at the wrong list?

19 MS. HOOVER: You're not looking at the wrong
20 list. I had a brief -- sorry, I had a brief moment of
21 brain gap there. So 1-nitropyrene is currently being
22 measured for us and our studies by University of
23 Washington. They are the lab that measures that --

24 PANEL MEMBER QUINTANA: I know.

25 MS. HOOVER -- as you well know.

1 And they're actually -- so they have shared their
2 standards with Jianwen and they're looking at that, you
3 know, as bringing that capability into Biomonitoring
4 California. That's why that's not listed there, because
5 we're talking about analytes. We're reporting from our
6 laboratories. So that's the reason it's not on there.

7 PANEL MEMBER QUINTANA: So, I guess --

8 MS. HOOVER: It's being reported in our studies
9 though.

10 PANEL MEMBER QUINTANA: So I guess my follow-on
11 question would be I wouldn't be ready to think about brand
12 new stuff until that was on the list, for example. So I
13 guess how do we get a sense of what our priorities are for
14 stuff that isn't on that slide, I guess?

15 MS. HOOVER: Isn't on --

16 PANEL MEMBER QUINTANA: On this --

17 MS. HOOVER: So just to clarify, this --

18 PANEL MEMBER QUINTANA: So yes -- so I'm just
19 trying to figure out what we're doing here, I guess.

20 MS. HOOVER: This slide here -- so, yeah. This
21 is a very big brainstorming session about near- and
22 long-term priorities --

23 PANEL MEMBER QUINTANA: Okay.

24 MS. HOOVER: -- for SGP chemical selection, not
25 method -- this is not about method development. This is

1 about getting something on our designated list and
2 potentially on a priority list. So this is aspirational
3 about what -- basically, what Meg was saying about also
4 acting as a scientific resource for other programs by the
5 kind of work we do on chemical hazard ID. So that's what
6 this slide is about. So maybe move off this slide for
7 now, you know. Move backwards, go somewhere else.

8 The methods has to do with -- so that -- the list
9 that we provided to you about analytes reported, it pretty
10 much is what we're saying. It was less than the full
11 laboratory capability, because these are now the analytes
12 we are maintaining as methods and could run and report.
13 There are other -- if you look at our larger list from a
14 couple years ago, the 10th Anniversary, there's a larger
15 list of analytes that we're not necessarily maintaining.

16 So as Nerissa pointed out, it's -- you know,
17 there's a certain amount of resource that involves --
18 that's involved in just maintaining a method. So these
19 are the ones that could easily be chosen and reported in
20 studies.

21 PANEL MEMBER QUINTANA: Okay.

22 MS. HOOVER: Does that help.

23 PANEL MEMBER QUINTANA: (Nods head.)

24 MS. HOOVER: Okay.

25 CHAIRPERSON SCHWARZMAN: Maybe while other people

1 are thinking up their brilliant comments, I would just
2 say -- and I'll do Oliver first -- that one direction that
3 I think Biomonitoring California would -- could really
4 shed some light is on pesticide inerts. To me, I put them
5 a little bit in the same category in terms of what we know
6 about them with fragrance chemicals, because of the lack
7 of disclosure.

8 And, of course, inerts everybody knows are not
9 necessarily biologically inert. They're just not the
10 chemicals that are designed to harm the bugs, or the
11 herbs, or greenery, or whatever it is that's targeted by
12 the pesticide or fungicide. And the inerts often make up
13 99.5 percent of the mixture. And there's so little known
14 about -- so then that volume that's going into the
15 environment and that people are exposed to is tremendously
16 high. And we know very little about what those chemicals
17 are. So, in fact, there's only one source that I know of
18 then probably California DPR has a better source than
19 this. But the U.S. EPA approved inerts as the only list
20 that I'm very familiar with. But I would be curious to
21 hear what DPR could direct us to in terms of the compounds
22 to think about looking at it as pesticidal inerts.

23 But that's a category I'm very interested in
24 that kind of runs in parallel only in this -- from this
25 perspective with fragrance chemicals, which I'm also very

1 interested in, because of similar problems with disclosure
2 and high exposure. Low disclosure, high exposure.

3 (Laughter.)

4 CHAIRPERSON SCHWARZMAN: Oliver.

5 PANEL MEMBER FIEHN: Yeah. So thank you for
6 putting up that slide.

7 (Laughter.)

8 PANEL MEMBER FIEHN: Although, you wanted to get
9 rid of it.

10 (Laughter.)

11 PANEL MEMBER FIEHN: I would like to endorse one
12 compound class, these quaternary ammonium compounds, as a
13 list of chemicals -- or class of chemicals. And the
14 reason is the following.

15 In my laboratory, we analyze maybe 30,000 samples
16 a year. We do it in an un -- non-targeted way, and we see
17 those compounds all the time, right? So first, I thought
18 somebody in my lab is not quite careful, and I tended to
19 delete those. But the more we introduced quality
20 controls, we saw that these are actual compounds that even
21 show up in untargeted analyses, meaning they are highly
22 abundant.

23 So -- and they have lots of effects. They're
24 used in high tonnage. They're used in various
25 applications. There are known health effects. They are

1 often not very biodegradable. So I think there's lots of
2 reasons why these should be very carefully looked at and
3 potentially looked into screening.

4 The other thing is just because you said it's a
5 brainstorming, you know, sometimes I have the feeling
6 that, you know, we try to get to cutting edge and so on,
7 and we lack the funding. And sometimes -- I'm just saying
8 there's lots of academics here. And, you know, a grad
9 student can do wonders, and they don't cost a lot of
10 money. So if the Assembly or California could be, you
11 know, make some research funds available into that
12 directions, that might be good. It's not biomonitoring by
13 itself, but it would be research of maybe integrating
14 classic monitoring with non-targeted screening, or for
15 specific compound classes, and so on. I think that's
16 something that California could do, and without millions
17 of dollars, right, which is always hard.

18 CHAIRPERSON SCHWARZMAN: Yeah.

19 PANEL MEMBER LUDERER: I would like to actually
20 agree with quaternary ammonium compounds. I mean, those
21 are important causes associated with occupational asthma.
22 There are -- many of them are sensitizers. So from an
23 occupational perspective, and they're in lots of different
24 cleaning agents, so there definitely are many exposures to
25 those. So I think that would be a good one -- a good

1 class of compounds or group of compounds to look into.

2 I also had some -- I think, am I right, that the
3 only fragrance kind of class of compounds that we have
4 right now is the synthetic musks or is there -- I'm trying
5 to remember what else was on the designated list.

6 And I wanted to ask Veena whether the -- any of
7 those synthetic musks came up as -- among that big group
8 of fragrance compounds that you found in your analysis?

9 PANEL MEMBER SINGLA: Yes, they did. And the
10 HHCB was the one that was measured most often. But
11 that's -- you are -- I was thinking very much along the
12 same lines wondering if there was other classes of
13 fragrance chemicals that we could think about.

14 MS. HOOVER: Yes, I can answer that. And I just
15 noticed a formatting problem on the new designated list as
16 a result. We have synthetic polymusks on as a class. As
17 we were researching synthetic polymusks, one of which was
18 in Veena's study, we came across another compound called
19 OTNE. And we discovered there was a class of compounds
20 related to OTNE. And that went on the list at the same
21 time.

22 So we have tetramethyl
23 acetyloctahydronaphthalenes as another class of fragrances
24 on the list. At the time that we did the screening for
25 synthetic polymusks, Gail Krowech did that work, and she

1 did a screening of other kinds of musks. At that point,
2 there wasn't enough information to even meet our basic
3 criteria.

4 But I also have a lot of concerns about
5 fragrances just based on other information I know about,
6 and that's one of the reasons that's on there. I agree
7 that I think it's just worth doing more research. And I
8 was already talking to Veena about getting her full list
9 of -- to make sure also we capture everything that we
10 already know about that would fit on the list.

11 PANEL MEMBER MCKONE: I had a question about what
12 are alternative plasticizers. Were you thinking of cyclic
13 siloxanes or other things that we talked about in the
14 past. I know there's a use for some types, but I don't
15 know if that's what you had in mind or something else?

16 MS. HOOVER: Well, siloxanes are already on our
17 list. So again, and just to clarify to Oliver, I didn't
18 mean get rid of my slide. I love my slide.

19 (Laughter.)

20 MS. HOOVER: I meant that this is just one topic
21 of discussion today. And this is the aspirational topic
22 about what chemicals do we want to add to our list,
23 knowing that we're not necessarily going to be able to
24 measure them. But -- so Tom, siloxanes are already
25 captured.

1 PANEL MEMBER MCKONE: Right, I remember that.

2 MS. HOOVER: This is actually broader than that.
3 So if you look at, like for example -- well, if you look
4 at some of what Veena looked at, there's long lists of
5 chemicals currently being used as alternative
6 plasticizers. CDC has a couple on their list. So we just
7 added another one from them, phthalate alternatives.

8 So they have -- if you pull out your handy
9 designated chemical list. So there's DINCH, for example,
10 DEHTP, but there's a whole other set. And I can send
11 you -- well, I can send all of you the link to the
12 previous screen also that Gail Krowech did about other
13 types of alternative plasticizers when -- and you were at
14 that meeting. It was many, many years ago.

15 But at that point again, we didn't have enough
16 evidence to feel confident that it would meet our criteria
17 to put it on the list. But it's, you know, something we
18 could circle back and look at.

19 PANEL MEMBER MCKONE: Yeah, it makes -- so what
20 came up -- the reason I raise the siloxanes -- cyclic
21 siloxanes was that it was a rising use, and I would say
22 the same thing here. When you have something coming into
23 the market, it would be interesting. I mean, you know,
24 it's this argument that often we're looking backwards at
25 what's happened, and we know it's there, and then we just

1 want to see how much is there. But it's also interesting
2 to pick compounds that are just emerging into the market
3 to see how fast they show up in the population and to what
4 extent.

5 So I would -- I would -- I mean, I would make
6 that argument that's why you want to pick some of these
7 emerging classes. And people that argue against it say,
8 well, they're not there yet, or there's not enough there
9 to be of interest. But that's -- the point is that we --
10 if we know the market and the supply is growing, then we
11 should be looking at these things.

12 I don't know if others feel that way, but it's a
13 -- see, I would say if we had to choose -- and again, we
14 don't have to, but if we had to choose between PCBs, which
15 is -- I mean, nobody is making new ones. What we're doing
16 is getting a better understanding of what's already out
17 there. But it's also important to save resources to
18 really look at emerging chemicals, because those are the
19 ones that we can mitigate.

20 MS. HOOVER: Right.

21 PANEL MEMBER MCKONE: I mean, really reduce the
22 market, or say this was a bad choice of alternatives.

23 MS. HOOVER: So just a couple clarifications.
24 One is, yeah, the SGP has given us very clear advice from
25 the inception that we should look at emerging compounds.

1 So our whole chemical selection focus is pretty much on
2 emerging compounds or capturing classes that we think
3 could emerge. So I agree with you, and the SGP has agreed
4 with you. And that's one of the criteria we use in
5 choosing things.

6 The PCB thing is almost more like an
7 administrative issue. There are -- and Meg, alluded to
8 this, it's not just legacy compounds. There's PCBs that
9 are unintentionally formed, like in the manufacture of
10 dyes and pigments.

11 PANEL MEMBER MCKONE: Yeah.

12 MS. HOOVER: And those that are biomarkers for
13 that source of PCBs are not on our list. So if we were
14 ever to, you know, want to do an investigation of a
15 particular PCB exposure and decide is this legacy or is
16 this coming from the newer products, we couldn't actually
17 measure it. So that's really what that's about. I agree
18 with you, it's not like a primary focus. But that would
19 not be a big burden on us to deal with.

20 PANEL MCKONE: Okay.

21 MS. HOOVER: So that's -- that's why it's further
22 down on the list.

23 PANEL MEMBER MCKONE: But if I -- well, let me --
24 I mean, if I can, just on the PCBs. So even on -- and
25 other legacy compounds, what's interesting, and now

1 jumping to some of the other things like in our
2 presentations, when something like that has clear health
3 effects that we're still seeing, even if it's a legacy,
4 the reason we might consider biomonitoring is not to find
5 out whether it's there or not - we know it's there - but
6 to inform about how it's distributed in the population,
7 what are the mitigation strategies and what are the health
8 benefits of doing that.

9 So again, I'm not saying PCBs are boring, because
10 they've been around for 70 years. But when we think about
11 it, what we think about is what's the question. I think
12 for PCBs, and many other legacy compounds, there's still
13 the question of the distribution among the population,
14 mitigation, health protection. And you can't do that if
15 you don't understand how it's really -- how and where it's
16 distributed in the population, and how it gets there.

17 So again, that would argue for doing both legacy
18 and some of the PCBs.

19 MS. HOOVER: Oh, yeah. I'm not saying abandon
20 legacy. And I'm not even saying develop the methods for
21 the non-legacy. I'm just saying we would have that option
22 by putting it on the list. So again, this slide is about
23 chemical selection. It's not about what are we actually
24 going to go out and study.

25 PANEL MEMBER MCKONE: Okay.

1 MS. HOOVER: And ECL is maintaining the POPs
2 panel. That's on your list. That's something we can work
3 on. And what you're alluding to about why is it useful
4 also links with, you know, José's talk --

5 PANEL MEMBER MCKONE: Yeah, that's what I --

6 MS. HOOVER: -- about, you know, what can we
7 contribute?

8 So, you know, we might want to spend some time on
9 some of the other slides just to clarify that we also want
10 to talk about -- I mean, if people -- like Meg said, if
11 people want to bring up again like what should our focus
12 be over the next few years of the CDC. We also have some
13 State money. You know, it's not just CDC money, so what
14 would be the high priorities to build on from the past?
15 So you might just -- I mean, happy to hear more chemical
16 selection ideas. I love doing chemical selection, but
17 other ideas are welcome.

18 CHAIRPERSON SCHWARZMAN: Eunha, go ahead.

19 PANEL MEMBER HOH: I just want to chime what Sara
20 said was actually the new PCBs, you know, like PCBs
21 created probably not from the old usage, you know, as
22 by-product. I recently worked on some that -- the plastic
23 wrap for food thing, you know. We found that a couple of
24 PCBs there, too. But we didn't see the other abundant
25 PCBs. So that really says that those PCBs probably could

1 be created from the manufacturing process. Yeah.

2 CHAIRPERSON SCHWARZMAN: Jenny.

3 PANEL MEMBER QUINTANA: I just had a question,
4 and I'm not sure if I'm looking at the right lists. I've
5 got all these piles of lists in front of me. But we had a
6 conversation, and I forget how many years ago, about
7 neonic pesticides. And I don't think I see them here, but
8 maybe I'm just missing them. Did they ever get put on the
9 list? At that time, we decided not to put it on, to put
10 glyphosate on that one meeting.

11 MS. HOOVER: Glyphosate is on.

12 PANEL MEMBER QUINTANA: I know, but the neonic
13 pesticides, because they are showing up in water, isn't
14 that right, Eunha, that neonic -- neonic pesticides are
15 coming in the water supply quite a bit?

16 MS. HOOVER: So -- yeah. So we screened a number
17 of pesticide classes. We have a whole list of pesticide
18 classes that we could pursue, and we could bring forward
19 to the Panel as, you know, full documents. We started
20 with organophosphorus pesticides maybe like a year or two
21 ago.

22 We didn't go back, you know, to more pesticides
23 partially because of the priorities, you know, that we're
24 focusing on as a Program. However, you know, entering the
25 Central Valley and so forth, you know, pesticides may

1 become a priority. So I think what you're saying to note
2 is, you know, go back to the preliminary screen, keep
3 those on the list, and I can certainly do that, yeah.

4 CHAIRPERSON SCHWARZMAN: Maybe I could use that
5 as a way -- oh, were you done, Jenny?

6 PANEL MEMBER QUINTANA: (Nods head.)

7 CHAIRPERSON SCHWARZMAN: -- to bridge a little
8 bit between this topic of chemical selection and
9 recommendations for studies. Just to say, while we're on
10 pesticides, I was going to ask José if he wanted to
11 reflect a little bit on the pesticide list, and as the
12 CARE study starts to approach the Central Valley, are
13 there any recommendations that we want to make about
14 including pesticides in the CARE study of that community?
15 And if so, are there -- is there a -- are there categories
16 of insecticides or herbicides that we should be pulling in
17 that aren't here?

18 PANEL MEMBER SUÁREZ: Right. So I agree with
19 what Jenny was mentioning about neonicotinoids so -- and
20 this latest release by NHANES now. They are including the
21 neonics which is a pretty new -- very new for their
22 methods in biomonitoring.

23 And so the interesting thing about neonics is
24 that they are -- have now become the most commonly used
25 insecticide worldwide. They have taken over

1 organophosphates, which have been, for the longest time,
2 the most prevalent. You can see the decline in
3 organophosphates and the increase in neonicotinoids.

4 So I think including some of those would be very
5 beneficial, you know, adapting to the new changes in the
6 new chemicals that there are.

7 The other piece that I did bring up earlier were
8 with fungicides. So I see that there are some fungicides
9 here, and that's fantastic. Some of the ones that, if
10 we're still brainstorming, talking about some of the newer
11 ones. Some of the ones that I'm concerned about are the
12 azole fungicides, triazole.

13 So these have a structure that is very similar to
14 imidazoles, which are antifungals used in clinica
15 practice. And some of the older ones like ketoconazole
16 and fluconazole are known to be hepatotoxins. I mean, you
17 have to be monitoring liver enzymes when you're
18 administering some of these older ones.

19 And so that's one of the concerns. And the use
20 of these, as I was mentioning earlier, since 2007 it's
21 been five- to six-fold increase in the use. And now it's
22 being used in most of the country. So there is -- if you
23 look at the maps produced by the Geological Survey you can
24 see the distribution over time too, and where they're
25 using these pesticides. And it's really amazing the

1 dramatic increase with that.

2 So those are two classes that I would like to
3 consider for inclusion. I see that pyrethroid pesticides
4 are included, and that's great. With pyrethroids, there
5 is still -- at least the epidemiology is trying to catch
6 up with pyrethroids now. The measurements of these have
7 been solid now for a few years. And so the epi studies
8 are trying to catch up with toxicity related to
9 pyrethroids.

10 So I think it's, for the time being, worth it to
11 keep those on the list, but those would be my
12 recommendations.

13 And if you really want to go to one more
14 fungicide, that would be the quinone outside inhibitors
15 that I was mentioning earlier as well. These are like
16 quinone outside inhibitors. So things famoxadone or
17 fenamidone. Anything obin, so pyraclostrobin. These have
18 a particular mechanism of action. And at least from the
19 toxicological -- so they've done some in vitro studies.
20 And a lot of the toxicology that we have for most of these
21 chemicals are really from in vitro studies, and some of
22 them go up to the experimental level with rats, but not
23 all of them. Then they're finding that they're really not
24 the healthiest choice necessarily.

25 CHAIRPERSON SCHWARZMAN: Carl.

1 PANEL MEMBER CRANOR: This is more or less a
2 procedural question, and it may already have been
3 answered. But is there any point to looking at the
4 toxicologic -- I mean, there is a point to looking at the
5 toxicological research. And are the -- have the most --
6 are the most toxic substances on the list for
7 biomonitoring or are there things that have come visible
8 as more toxic in recent years that aren't on the list? So
9 that would just be a way of checking the list, as it were.

10 MS. HOOVER: Are you talking about every toxic
11 chemical that -- like, what do you mean looking at -- I
12 mean, we always -- toxicity is one of our criteria. Every
13 time we screen, we use toxicity --

14 PANEL MEMBER CRANOR: Right.

15 MS. HOOVER: -- so I don't know what you're --

16 PANEL MEMBER CRANOR: Well, you know, some of
17 it's a question out of ignorance. But are there things
18 that are potentially more toxic than what's on the list
19 that aren't on the list? That's really the question. And
20 is -- and can you talk to the toxicologists? What are
21 they worried about?

22 MS. HOOVER: Yeah, I have a toxicology background
23 too. I work with toxicologists.

24 PANEL MEMBER CRANOR: Yeah.

25 MS. HOOVER: That's one key factor. But, you

1 know, there's -- if you look at the law and the criteria
2 for getting on the designated list, we evaluate a number
3 of things in order to screen things for lists. So it's
4 not just about is the most toxic chemical on the list?
5 It's about, you know, what's the exposure?

6 PANEL MEMBER CRANOR: Right.

7 MS. HOOVER: Is it relevant to California? Is it
8 biomonitorable? All of those things play into it.

9 I just want to circle back and say a couple
10 things, and then hopefully we can discuss things other
11 than chemical selection, although this is fascinating.

12 July 2016, we did a screen -- a preliminary
13 screen on three classes of pesticides, organophosphorus
14 pesticides, neonicotinoid pesticides, and aniline
15 pesticides actually. So we'll definitely add the new ones
16 that José mentioned to the list. We took care of
17 organophosphorus pesticides. We postponed chemical
18 selection for several reasons. One was funding driven,
19 just burden on the labs, not -- it's not practical, you
20 know, to do more chemical selection.

21 But I propose that we have a chemical selection
22 item in 2019. Doing a designated chemical list for a
23 class is a huge undertaking, if you look at some of our
24 past documents.

25 So that's kind of maybe one last thing that would

1 be helpful off of this slide. There's many, many choices
2 that we could tackle, including neonicotinoids. Lauren
3 Zeise had raised fluorinated compounds other than PFASs.
4 Interestingly, there's a link, you know, to some of
5 Eunha's new non-targeted work. Is that of interest?

6 And then I added, after -- this was after seeing
7 Eunha's work, other halogenated compounds. And the reason
8 why I'm raising that is because the compound that she was
9 focusing on, the -- is TCPM, Eunha?

10 PANEL MEMBER HOH: (Nods head.)

11 MS. HOOVER: That is a chlorinated compound that
12 is not a flame retardant, as far as I can determine, which
13 means it's not captured in anything on our list. So
14 that's -- you know, because we captured fluor --
15 brominated and chlorinated organic compounds used as flame
16 retardants, not all halogenated compounds, because that
17 seemed like it was too large.

18 So that's one question. You know, that would be
19 one angle we could take or we could go back and pick up,
20 you know, neonicotinoid pesticides or some other class
21 like that. So thoughts on those sorts of priorities.

22 I mean, I think partially we gravitated this
23 because we are -- PFASs are a priority -- high priority in
24 California, but PFASs are not the only important
25 fluorinated compound. So that's how that rose to the top.

1 CHAIRPERSON SCHWARZMAN: Yeah, go ahead.

2 PANEL MEMBER LUDERER: So I guess in thinking
3 about this whole class idea, I mean, the TCPM we think
4 that's a breakdown product of -- do we have any idea?

5 PANEL MEMBER HOH: It's probably not.

6 PANEL MEMBER LUDERER: It's probably not. Okay.
7 It's by-product during manufacture

8 PANEL MEMBER HOH: It's probably a by-product,
9 yeah.

10 And then Sara found a document, yeah, it looks
11 like a -- it looks like a by-product of the DDT
12 manufacturing, yeah.

13 PANEL MEMBER LUDERER: So kind of one thing one
14 could think about doing would be the class of compounds as
15 defined as the -- you know, it could be manufacturing
16 by-products and/or metabolites of those compounds, not
17 just the compounds themselves, not the parent, in order
18 on -- what's on the list currently.

19 MS. HOOVER: Yeah.

20 CHAIRPERSON SCHWARZMAN: Yeah. Veena.

21 PANEL MEMBER SINGLA: In the other halogenated
22 compounds category and kind of going back to the
23 conversation this morning about wildfires and other
24 combustion by-products of concern, I wanted to mention
25 brominated dioxins and furans as of interest in that

1 realm. Because the chlorinated dioxins and furans are
2 currently on the list, but the brominated versions are
3 produced as combustion by-products from a variety of flame
4 retardants and other brominated compounds.

5 So I think there's a lack of information on
6 toxicity and exposure for those, but certainly more
7 information is needed.

8 CHAIRPERSON SCHWARZMAN: Other thoughts?

9 Sara has put back up the list of topics from this
10 morning about -- thinking about specifics about inquiries
11 that the Program might make that are specific to
12 California, and that might be funded by the CDC
13 state-specific biomonitoring funding, just to see if it
14 brings up any other thoughts.

15 Jenny.

16 PANEL MEMBER QUINTANA: I was just looking at
17 that list over the break, and thinking that we have it
18 structured as issues of population, but we don't have a
19 category of intervention studies or policy -- evaluating
20 policy studies, which we might have as a type of study
21 that might be useful. Just a thought brainstorming.

22 And the other thought I had was years and years
23 ago - I was asking Sara - we had a discussion about
24 biomonitoring breast milk, because you have the same
25 methods, but a very interesting population, and there's

1 also breast milk banks. Because one thing I think that's
2 obvious to everyone is that getting your own samples is
3 super expensive, you know. So if we're trying to keep
4 resources for the lab, maybe trying to focus on an
5 approach which utilizes bank specimens, or utilizes
6 already collected specimens as much as possible to save as
7 much money to keep the important work of the lab going.

8 DR. WU: I'm glad you said that, because I
9 actually wanted to bring up the MAMAs samples as well, the
10 biobank samples. So we're talking -- we've talked a lot
11 of -- about methods related to CARE or analytes we'd like
12 to add to CARE.

13 So a couple things I wanted to say. One is that
14 the timeline of method development is such that, you know,
15 we're looking ahead to being in the Central Valley in a
16 couple years. So getting a chemical panel designated and
17 then having a method developed, there's -- we might have
18 to think about what is realistic within that time frame,
19 or we could rearrange our regions perhaps, in order to
20 have method development in time for an agriculturally
21 relevant region.

22 The other thing is that we do have sort of two
23 different tracks going. One is our surveillance, where
24 we're looking -- I think it makes more sense to be looking
25 at chemicals that we know are in the environment, maybe

1 legacy chemicals, the PFASs, because we also have the
2 results return side of things. So if we're doing things
3 that are emerging chemicals that we're just learning
4 about, some of that is harder to describe to a population.
5 It's harder if we don't have a lot of information to say
6 about what we know about their health effects.

7 But we do have this biobank resource, the Genetic
8 Disease Screening Program, which is -- it's only serum and
9 it's very low volume, but it's capturing about 70 percent
10 of pregnant women in California. So for some of these
11 more exploratory things like the newer PFAS, maybe some of
12 these other compounds we can look at in serum, if we have
13 some of these other halogenated things on the list, that
14 might be the best place to be doing this kind of vanguard
15 type of work.

16 MS. HOOVER: I just want to ask Nerissa a
17 question. And is there room do you think for that to be
18 part of the CDC proposal?

19 DR. WU: Well, Robin has this laptop that she's
20 writing all this stuff down.

21 (Laughter.)

22 DR. WU: And as we talk, she's kind of sketching
23 out these different scenarios. And, you know, we're
24 trying to wedge as much as we can in -- the MAMAs are
25 really cost effective, because the samples are already

1 there. We have to purchase them. Much cheaper than going
2 out and getting them.

3 So we're -- we are trying to fit maybe every
4 other -- maybe a couple years worth of targeted, and then
5 experimental work in as we do our CARE work. But, yeah, I
6 mean, you have to give something up in order to fit each
7 one of those in.

8 MS. HOOVER: Yeah.

9 DR. SHE: Jianwen She, California Biomonitoring
10 Program. Regarding -- I have a comment on some chemicals
11 work we do -- we have experience with. For example, for
12 halogenated compounds, laboratory learned polyhalogenated
13 carbazoles was found in Great Lakes at very high levels.
14 Some research from Giesy lab, An Li lab. Some people
15 found it in California -- San Francisco Bay. The estimate
16 is a level in the sediment is higher than -- is high as
17 the -- five times high as the PBDE's release.

18 So then we use our lab resource, because
19 structurally carbazoles is maybe from a by-product from
20 the diurnal product -- diurnal plants. So we cannot find
21 it in human samples. And so that means that sometime you
22 find maybe in the environmental samples, not necessarily
23 do we find it in the human samples. And we only have a
24 limited experience.

25 Regarding also the polybrominated dioxins, if we

1 remember Zhousang Sho's paper, he monitored some
2 firefighters. I think a very small study. He actually
3 pick up the California peoples, firefighters. I remember
4 13 firefighters he found PBDF or PB -- poly-PBDF.

5 And in my 30 years doing my Ph.D., I look for
6 this group of chemical I'm looking for, so that actually
7 polychlorinated, lower brominated ones means mixed ones
8 possibly need pay more attentions, because we find a lot
9 of high chlorine, but mixed with very few bromine, because
10 bromine bond with carbon is weaker. And so from the --
11 especially from the -- in traffic, car's exhaust, and also
12 the incineration burn down the hospital's waste, not newly
13 simple waste, but hospital waste in incinerations.

14 So and make these two comments.

15 Thank you.

16 And one more chemicals, because Sara also listed
17 and -- one more chemical, and BP-3 groups laboratory also
18 work hard. We find more than BP-3 or BP-1. That's also
19 heard Dr. -- Professor Hoh's laboratory also look at BP-3.
20 So we try to develop class-based method. So we move very
21 slow, but we -- I think we come to the conclusion we can
22 publish this paper. So we try to do a low-targeted group
23 analysis. But we needed to bring the target with --
24 within certain chemical space, so by group them -- class
25 them is one kind of the space methodology.

1 Also, very great presentation by Dr. Singla. So
2 think what she presented like this morning, like thousand
3 chemicals someone predicted can be monitored. That
4 chemical actually can be think as low-targeted screening
5 chemical space. Then your low target become semi
6 targeted. So otherwise this low target idea that don't
7 work, because you need to bring to the boundary low
8 target, unknown, semi-low to the target. So I think
9 that's a lot of comment we try to do in the laboratory use
10 our limited resource.

11 I'd like to ask Sara a question. What's a rubber
12 chemical compound really means?

13 MS. HOOVER: Yeah. I was intentionally vague
14 about that, because I got -- I got some input from a
15 stakeholder that is not completely shareable yet. So I
16 can't give that information. But if you were interested
17 in that, it could be a potential preliminary screen.

18 So just throwing out potential preliminary
19 screen. So just throwing out ideas.

20 I'm wondering if we might want to take one last
21 run through the first slides, if you go back to -- yeah.
22 So just maybe run through the discussion questions from
23 each of the Panel members and make sure there isn't
24 anything that we want to focus on or comment on from those
25 three. And just go one at a time.

1 CHAIRPERSON SCHWARZMAN: Yeah.

2 PANEL MEMBER QUINTANA: I guess I had a comment
3 or question that maybe applies to all three of them, but
4 maybe starting with Dr. Singla, which is this
5 Biomonitoring California came about because of breast
6 cancer activists. But the one group that hasn't been
7 singled out for a special study has been the breast
8 cancer, you know, recurrence or any other group. And so
9 some years ago I think I forward a paper about chemicals
10 associated with breast cancer. And I'm just wondering if
11 we could even look at chemicals with that lens maybe for
12 some kind of priority -- prioritization or something,
13 because I feel like that's one thing that hasn't really
14 come about at this point.

15 Just a suggestion. And I know that you had
16 looked at different categories, especially you presented
17 that slide about different risk factors, but maybe it
18 could be refined further.

19 CHAIRPERSON SCHWARZMAN: I would just maybe add
20 to that, that it's an area of research interest of mine.
21 And I know that there's -- it's both promising and not
22 promising in a sense that some of the research that
23 California Breast Cancer Research Program has been
24 supporting has been doing some non-targeted screening,
25 looking at estrogenic compounds in the blood and serum of

1 women who have versus don't have breast cancer.

2 And it's hard. It's really hard. There's like a
3 higher estrogenic load, but you can't necessarily tell
4 where it's coming from is the bottom line of my
5 understanding of someone else's research.

6 But I do think it's an interesting idea to come
7 through sort of a disease relevant lens is what I hear you
8 suggesting.

9 PANEL MEMBER QUINTANA: Um-hmm.

10 CHAIRPERSON SCHWARZMAN: And breast cancer is
11 tricky, because there's both -- we're not just talking
12 about carcinogens. We're also talking about mammary gland
13 development toxicants, right? So there's sort of two --
14 there's carcinogenesis in a more classic mechanism, and
15 then there's all the sort of disrupted development impacts
16 that affect breast cancer risk.

17 Other thoughts?

18 Carl.

19 PANEL MEMBER CRANOR: A quick reminder, given Dr.
20 Singla's talk, she detected a lot of building materials in
21 the dust. And we did raise the possibility, and she
22 seemed very excited about that, is there any point to the
23 Program monitoring people that work and build with these
24 materials? I think it's probably a very difficult group
25 to study, because they move around, and they're hard to

1 keep track of, and all that sort of thing.

2 But if the building materials are showing up in
3 the dust and they're worrisome, what about the people that
4 are putting them up?

5 CHAIRPERSON SCHWARZMAN: One thing I might just
6 say, and I don't know if, Veena, if you'll have additions
7 to this, is I feel like exposure potential is very
8 specific to the compound and the matrix -- I mean, not the
9 matrix. That's not the right term here, but the material
10 that it's in.

11 So, for example, I've seen studies about
12 concentration of flame retardants in offices when they've
13 just been built, when they've been populated with the
14 electronics, and then when the electronic are running.
15 And the exposure is not very low until the electronics are
16 turned on, at which point the exposure goes way -- or the
17 concentration in the indoor air goes way, way up.

18 So there, you have something where just handling
19 the materials having them being present in the space isn't
20 sufficient to cause the exposure, but use of them is. And
21 I'm sure the opposite is true for some other, you know,
22 components of the built environment, where when you're
23 applying them, and installing them, and all that, the
24 exposure is much higher than when you're in the use phase.

25 But I only say that to mean that there isn't, of

1 course, this direct correlation between if it's in the
2 building material, then the people who are building the
3 buildings are going to be more highly expose.

4 PANEL MEMBER CRANOR: Well, I just raised the
5 question --

6 MS. HOOVER: Mic.

7 PANEL MEMBER CRANOR: I just raised the
8 questions, because it came up, and whether -- didn't want
9 it to drift away unaddressed.

10 PANEL MEMBER SINGLA: Thanks for bringing that up
11 again. I think there's -- there is a few interesting
12 angles in relation to that, specifically related to
13 building insulation and flame retardants. Those flame
14 retardants are used in multiple types of building
15 insulation, spray foam insulation as well as polystyrene
16 and polyisocyanurate. So various types of foam plastic
17 building insulation which are very -- used very widely for
18 energy efficiency purposes.

19 And California requirements for building energy
20 efficiency are very stringent, so the insulation --
21 building insulation is a very important criteria for
22 building new buildings, certainly in building remodels and
23 rehabs. And some of the -- we don't have much data on
24 occupational exposures for installers for folks that are
25 installing building insulation. But one study from NIOSH

1 on spray foam installers did find higher flame retardant
2 exposures to the installers.

3 And for some of the brominated flame retardants
4 that are used in the other types of insulation, we have a
5 little bit of data showing in manufacturing and cutting in
6 the factory exposures. But again not much information on
7 the installation piece, nor much information on how the --
8 in the installation process some of the dust or abraded
9 material generated during that process might contribute to
10 future indoor exposures.

11 So I think there is -- there's a lot of questions
12 of interest. And I'll just mention that -- a few things.
13 One that spray foam is on the Safer Consumer Products
14 Priority Product List for the isocyanates, not for flame
15 retardants. They're looking at it for different
16 chemicals. And two, that the California Building
17 Commission just recently passed a building code standard
18 change that will allow flame retardant-free insulation
19 below grade.

20 So I think there's something specifically of
21 interest for California in this flame retardant building
22 insulation question.

23 MS. HOOVER: I just wanted to -- this is Sara
24 Hoover again. I wanted to ask actually a couple questions
25 of Robin and Nerissa before we move off this slide. And

1 that is on the CDC proposal where they have -- we have a
2 piece -- our surveillance piece, CARE, potentially MAMAs,
3 the targeted/emergency piece, could you say anything about
4 like the room to do some kind of targeted study,
5 intervention study, you know, the emergency protocol for
6 the firefighters? Do you have any thoughts about that
7 related to CDC?

8 DR. WU: Well, I think I have spoken about this a
9 little bit. And I've stay deliberately vague, because we
10 are still working out those numbers. CARE will be the
11 bulk of the funding, which I think is -- I mean, again,
12 the CDC FOA is really focused on data generation, and
13 having, you know, this massive data that can be compared
14 with other states.

15 But within that, I do think there is room for
16 probably every other year -- so maybe three sort of
17 cohorts of targeted studies. And we're -- you know, it's
18 this question of how do we want to prioritize?

19 We also want to highlight something that is very
20 unique to California, because that's the whole thing --
21 that's the whole driving behind -- drive behind having,
22 you know, supportive State programs, which is one of the
23 reasons why wildfires is so compelling to us.

24 But the flame retardant story is also. I mean,
25 it's very unique to California, and it's something that we

1 have a lot of experience with. So, I mean, this is what
2 we're -- this is what the task is for us to really figure
3 out how much we can fit in, and what really gives us both
4 the most public health impact, but also what is going to
5 be appealing to CDC.

6 We also, I think as a Program, need to look at
7 other sources of funding. We can't just rely on CDC. And
8 my hope is that this discussion will help kind of seed
9 ideas for us looking for collaborations with some of you
10 and others, but also other places we might look to
11 supplement CDC.

12 MS. HOOVER: That's really helpful. And I think
13 that means that all of this discussion we've had today
14 about priorities and what everyone is interested in is
15 really going to be a useful resource for us to draw on in
16 figuring out where we go with the CDC proposal, which is
17 due shortly.

18 I also just mention that the complimentary dust
19 sampling I think that's always a possibility, but it's a
20 different pot of funds, so not CDC or State biomonitoring
21 funding.

22 I'm wondering if we -- you know, I just don't --
23 I don't want to miss the last two sides. So why don't you
24 advance one more, and we'll just take a look at -- I think
25 we've actually covered a lot of this as part of chemical

1 selection and method development.

2 I don't know if anyone has any last comments. I
3 think we had a really robust discussion of this and how it
4 informs our potential priorities. And then José, did you
5 want to say anything else before we move forward.

6 Russ.

7 Did you want to say anything else about -- from
8 your work and any other -- any other feedback to the
9 Program before we end this session from your work?

10 PANEL MEMBER SUÁREZ: I think we've covered a lot
11 talking about POPs in this case in particular. I guess
12 something that we can think about is if there are
13 interests in doing any intervention studies, how -- it
14 could be on this topic or any other ways, it would be
15 interesting to see what the role of the Biomonitoring
16 Program may be in something like that, and how much of the
17 efforts or resources could be involved. And this is, I
18 think, when the partnering with other people, because
19 doing interventions is very expensive.

20 But on the other hand, if there's an intervention
21 ongoing, then maybe other types of chemicals can be then
22 measured by the Program, then that's kind of a win-win for
23 both sides. So something to think about.

24 MS. HOOVER: Last thing. It's 4:11. And we
25 actually were supposed to start our wrap-up at 4:05. So I

1 wanted to just check, do we have any open public comment?

2 Any open public comment in the room?

3 Okay. So then we're going to use that time, and
4 you can start the --

5 PANEL MEMBER HOH: Sara, can I have one comment?

6 CHAIRPERSON SCHWARZMAN: Sure. I just meant, you
7 know, at some point, Meg is going to wrap-up, and you have
8 time to still do that last piece.

9 PANEL MEMBER HOH: It's just my last comment,
10 that during the break that I had a conversation with the
11 group from UC Davis, Thomas Young, professor. And then
12 the -- his group -- he brought two or three more guests
13 together. And one of them -- two of them I think they
14 mentioned about the native tribes communities, and
15 initiate -- kind of express the high interest about the
16 hoses -- the reduction and elimination of POPs, because
17 their communities has huge, huge concerns about their body
18 burden. It's all about like -- you know, all the foods
19 and have high concentration of all the POPs. And, you
20 know, of course, they have to change their culture, but
21 they -- also, they want to keep their culture. You know,
22 a lot of people already have high body burden, you know,
23 so...

24 CHAIRPERSON SCHWARZMAN: Okay. Thank you. I was
25 going to do the call for public comment, but you did it

1 for me. And I don't think we have anything online.

2 So we have the opportunity here to kind of pull
3 together some ideas from the day. And I don't -- we don't
4 need to be duplicative, but if anyone has additional
5 thoughts or highlights that they want to add now, I guess
6 what I would say is that I've heard some -- the things
7 that are kind of sticking with me a bit, other than from
8 all of the interesting ideas today, is the potential to
9 use banked samples as -- to call it an intervention study
10 wouldn't be right, because that assumes you have the
11 same -- you're studying the same people before and after
12 intervention. But the idea of using banked samples as --
13 where it's a relevant point of comparison to a later time
14 period like banked breast milk or the Genetic Disease
15 Screening Program that having that time lapse is so
16 valuable, and being able to use banked samples.

17 So I'd be very interested in -- I think there's
18 many potentially interesting studies that could be done
19 with MAMAs-type samples, and even developing newer ones
20 and comparing were past banked samples and also with the
21 GDSP Program.

22 So I think there's potential rich studies there,
23 in addition to sort of expanding and continuing CARE. I'm
24 kind of excited about it all.

25 And also would be interested to hear what you

1 think might be possible with the rapid response sort of
2 wildfire studies or wildfire clean-up studies.

3 Anyway, those are some of the things that stood
4 out for me.

5 Does anyone want to mention any highlights or
6 final ideas for the Program?

7 Please, Jenny.

8 PANEL MEMBER QUINTANA: I guess I was looking at
9 that cut down list that you handed out of what you could
10 do quickly. But I was kind of struck by the disconnect
11 between how often pesticides were mentioned and how few
12 were on that list. So maybe just -- that does --
13 pesticides do seem to be a high priority, maybe to bring
14 that forward.

15 CHAIRPERSON SCHWARZMAN: Okay. And if there
16 aren't other comments --

17 Yes. Sara has one more question.

18 MS. HOOVER: We actually never came to the point
19 of what chemical selection item would you guys rate as the
20 high -- we can do one in-depth chemical selection item for
21 July. So you have to pick. You can't say do it all.
22 Because that's what we often get is do it all. Yeah, it's
23 all on our list. So, you know, we can do a preliminary
24 screen of the other halogenated compounds that are not
25 captured, including the fluorinated compounds that have

1 come up? We could -- we cannot do a -- the complete
2 designated chemical document for neonicotinoids, but we
3 could put that on our list and start working that, if
4 that's a higher priority. So just think about --

5 CHAIRPERSON SCHWARZMAN: I heard quaternary
6 ammonium compounds.

7 MS. HOOVER: That could be -- yeah, if that's the
8 highest priority as a preliminary screen --

9 PANEL MEMBER FIEHN: Yes.

10 MS. HOOVER: So that's -- Okay. That's getting a
11 lot of nods.

12 PANEL MEMBER SUÁREZ: So what I would suggest is
13 if there are five different compounds that are of
14 consideration, I think it would be good to have a
15 description of the rationale of -- as to why it is
16 important that this one, and maybe then we can have a vote
17 on which ones. But it would be good to --

18 MS. HOOVER: Well, that's what a preliminary
19 screen is.

20 PANEL MEMBER SUÁREZ: -- have a good
21 well-informed rationale as to why it is that we think that
22 chemicals ought to be included, just to make it more of a
23 systematic and a well-informed decision.

24 MS. HOOVER: I'll send you a couple links of what
25 we've done in the past. And that's exactly what I'm

1 asking you for. But just doing that preliminary screen is
2 an effort in and of itself. So we're not going to do a
3 preliminary screen of everything on the list. We'll do a
4 preliminary screen -- which is where we take a look at the
5 class of compounds, we look at our criteria for designated
6 chemicals, and we do an initial screen to say exactly what
7 you're saying, like why would this be important to go on
8 the list?

9 So it sounds like actually of all the things, the
10 most head nodding is quaternary ammonium compounds at this
11 point to shift to a preliminary screen of that class of
12 compounds.

13 PANEL MEMBER SUÁREZ: Just a question. By
14 preliminary screen you're -- what are you talking about
15 specifically?

16 MS. HOOVER: I'm going to send you some links.

17 PANEL MEMBER SUÁREZ: Okay.

18 MS. HOOVER: And I will share with the whole
19 Panel. It's -- we haven't done one since you joined the
20 Panel, I think. But essentially, it's a document that
21 OEHHA prepares, where we take an initial look. So, for
22 example, the pesticide document I mentioned, we took an
23 initial look at three classes, and the Panel said, sure,
24 do them all, but let's start with organophosphorus
25 pesticides. And then we did a designated chemical

1 document.

2 And partially, it's exactly what you're saying,
3 we don't want to embark on a huge effort of a potential
4 designated chemical document without some buy-in from the
5 Panel that, yes, we want you to do all this work, because
6 it's a lot of work. So that's -- I'll send you some --
7 I'll send some examples to the whole Panel.

8 But am I hearing that quaternary ammonium
9 compounds go above the halogenated proposals?

10 (Head nods.)

11 MS. HOOVER: Okay. Everyone is nodding.

12 All right. Great. Thank you.

13 CHAIRPERSON SCHWARZMAN: Great. Any final points
14 before we conclude?

15 Okay. So I will -- we'll conclude the meeting.
16 There will be a transcript posted on the Biomonitoring
17 California website. And the next SGP meeting will be on
18 July 25th in Oakland. And thank you all for attending the
19 meeting, and for your thoughts, and particularly for -- to
20 the presenters from today.

21 Thanks.

22 (Applause.)

23 (Thereupon the California Environmental
24 Contaminant Biomonitoring Program, Scientific
25 Guidance Panel meeting adjourned at 4:19 p.m.)


1 C E R T I F I C A T E O F R E P O R T E R

2 I, JAMES F. PETERS, a Certified Shorthand
3 Reporter of the State of California, do hereby certify:

4 That I am a disinterested person herein; that the
5 foregoing California Environmental Contamination
6 Biomonitoring Program Scientific Guidance Panel meeting
7 was reported in shorthand by me, James F. Peters, a
8 Certified Shorthand Reporter of the State of California,
9 and thereafter transcribed under my direction, by
10 computer-assisted transcription.

11 I further certify that I am not of counsel or
12 attorney for any of the parties to said meeting nor in any
13 way interested in the outcome of said meeting.

14 IN WITNESS WHEREOF, I have hereunto set my hand
15 this 15th day of March, 2019.

16
17
18
19
20 

21
22 JAMES F. PETERS, CSR
23 Certified Shorthand Reporter
24 License No. 10063
25