

CALIFORNIA ENVIRONMENTAL CONTAMINANT BIOMONITORING PROGRAM
(BIOMONITORING CALIFORNIA)
SCIENTIFIC GUIDANCE PANEL MEETING
CONVENED VIA WEBINAR BY: OFFICE OF ENVIRONMENTAL HEALTH
HAZARD ASSESSMENT
CALIFORNIA ENVIRONMENTAL PROTECTION AGENCY
STATE OF CALIFORNIA

MONDAY, MARCH 8, 2021
10:00 A.M.

JAMES F. PETERS, CSR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

APPEARANCES

PANEL MEMBERS:

Megan R. Schwarzman, MD, MPH, Chair

Carl Cranor, PhD, MSL

Oliver Fiehn, PhD

Eunha Hoh, PhD, MSES

Thomas McKone, PhD

Penelope (Jenny) Quintana, PhD, MPH

Veena Singla, PhD

José R. Suárez, MD, PhD, MPH

OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT:

Vince Cogliano, PhD, Deputy Director, Scientific Programs

Sara Hoover, MS, Chief, Safer Alternatives Assessment and Biomonitoring Section, Reproductive and Cancer Hazard Assessment Branch

Shoba Iyer, PhD, Staff Toxicologist, Safer Alternatives Assessment and Biomonitoring Section, Reproductive and Cancer Hazard Assessment Branch

Stephanie Jarmul, MPH, Senior Environmental Scientist, Safer Alternatives Assessment and Biomonitoring Section, Reproductive and Cancer Hazard Assessment Branch

M. Elizabeth Marder, PhD, Senior Environmental Scientist, Cancer Toxicology and Epidemiology Section, Reproductive and Cancer Hazard Assessment Branch

Kristi Morioka, JD, Senior Staff Counsel

APPEARANCES CONTINUED

CALIFORNIA DEPARTMENT OF PUBLIC HEALTH:

Kathleen Attfield, ScD, Research Scientist III, Exposure Assessment Section, Environmental Health Investigations Branch

Jennifer Mann, PhD, Research Scientist IV, Exposure Assessment Section, Environmental Health Investigations Branch

Jianwen She, PhD, Chief, Biochemistry Section, Environmental Health Laboratory Branch

Nerissa Wu, PhD, Chief, Exposure Assessment Section, Environmental Health Investigations Branch

DEPARTMENT OF TOXIC SUBSTANCES CONTROL

June-Soo Park, PhD, Chief, Environmental Chemistry Laboratory

PRESENTERS:

Bill Arnold, PhD, Department of Civil, Engineering, and Geo-Engineering, University of Minnesota

John DeSesso, PhD, Principal Scientist, Exponent

Keith Hostetler, PhD, Senior Managing Toxicologist, SafeBridge Regulatory and Life Sciences Group, Trinity Consultants

Amina Salamova, PhD, Associate Scientist, O'Neill School of Public and Environmental Affairs, Indiana University

Libin Xu, PhD, Associate Professor, Department of Medicinal Chemistry, School of Pharmacy, University of Washington

ALSO PRESENT:

Nancy Buermeyer, Breast Cancer Prevention Partners

INDEX

	<u>PAGE</u>
Welcome	
Vince Cogliano, PhD, Deputy Director, Scientific Programs, OEHHA	1
Overview of the Meeting	
Meg Schwarzman, MD, MPH, Chair, Scientific Guidance Panel (SGP)	5
Program Update and Options for Statewide Surveillance	
Presentation: Nerissa Wu, PhD, California Department of Public Health	7
Panel and Audience Questions	24
Introduction: Quaternary Ammonium Compounds (QACs) as Potential Priority Chemicals	
Introductory Presentation: Shoba Iyer, PhD, OEHHA	55
Panel and Audience Questions	59
QACs: Detection in the Environment and Degradation Processes	
Presentation: Bill Arnold, PhD, Department of Civil, Environmental, and Geo- Engineering, University of Minnesota	65
Panel and Audience Questions	88
Afternoon Session	101
Increased Human Exposure to QACs during the COVID-19 Pandemic	
Presentation: Amina Salamova, PhD, O'Neill School of Public and Environmental Affairs, Indiana University	101
Panel and Audience Questions	117
Analytical Methods for Measuring QACs in Biomonitoring Studies	
Presentation: Libin Xu, PhD, Department of Medicinal Chemistry, School of Pharmacy, University of Washington	127
Panel and Audience Questions	141

INDEX CONTINUED

	<u>PAGE</u>
Evaluating the Safety of Quaternary Ammonium Compounds (QACs)	
Presentation: Keith Hostetler, PhD, Trinity Consultants and John DeSesso, PhD, Exponent, Inc	157
Panel and Audience Questions	175
Quaternary Ammonium Compounds as Potential Priority Chemicals	
Overview of Potential Priority Chemicals	
Document on QACs: Shoba Iyer, PhD, OEHHA	187
Panel Questions	192
Public Comment	194
Panel Recommendation	203
Open Public Comment	214
Wrap-up and Adjournment	219
Reporter's Certificate	220

PROCEEDINGS

1
2 MS. JARMUL: All right. It is just before ten
3 o'clock. I am going to go ahead and introduce Vince
4 Cogliano who is the Deputy Director for Scientific
5 Programs of the Office of Environmental Health Hazard
6 Assessment, OEHHA.

7 Vince is stepping in to give the welcome on
8 behalf of Lauren Zeise, OEHHA's Director, who will be
9 joining the meeting a bit later.

10 I'll go ahead and hand it over to you, Vince.

11 DR. COGLIANO: Thank you very much, Stephanie.
12 Good morning, everybody. I'd like to welcome the Panel
13 and audience to the meeting of the Scientific Guidance
14 Panel for the California Environmental Contaminant
15 Biomonitoring Program, also known as Biomonitoring
16 California. Thank you all for participating and for
17 sharing your expertise.

18 The Scientific Guidance Panel last met on
19 November 8th, 2020. We started with an update on the
20 biomonitoring study currently under development to
21 evaluate the effectiveness of air filtration in reducing
22 air pollutant exposure in an AB 617 community. Input from
23 the Panel and audience included highlighting the
24 importance of:

25 Including a control group to better interpret the

1 results of the intervention;

2 Controlling for tobacco smoke exposures and
3 analyzing results for certain chemicals, such as
4 polycyclic aromatic hydrocarbons;

5 Ensuring that we understand and are transparent
6 about the capability of the air filtration system being
7 evaluated, including whether it can reduce levels of
8 SARS-CoV-2, that is the virus that causes COVID-19.

9 The remainder of the meeting focused on
10 discussing challenges in biomonitoring surveillance
11 studies informed by a series of guest speaker
12 presentations. The main goal of the discussion was to
13 identify surveillance priorities for Biomonitoring
14 California. Input from Panel members included:

15 Highlighting the importance of tracking temporal
16 trends in chemical exposures, while noting that this is
17 difficult given Program resources;

18 Encouraging the Program to partner with biobanks
19 and other groups to obtain already collected biospecimens
20 for analysis, and;

21 Suggesting the possibility of measuring chemicals
22 in wastewater to complement biomonitoring.

23 A summary of input from the November meeting,
24 along with the complete transcript, is posted on the
25 November SGP meeting page at biomonitoring.ca.gov.

1 Because we're meeting virtually today, I would
2 like to have the SGP members introduce themselves and also
3 your affiliation. I'll be going through the list of SGP
4 members alphabetically. So I'm going to start with Dr.
5 Cranor. Please unmute yourself and tell us your name and
6 your affiliation.

7 PANEL MEMBER CRANOR: Hi. Carl Cranor from the
8 University of California, Riverside. Distinguished
9 professor of philosophy, and a faculty member in
10 environmental toxicology.

11 DR. COGLIANO: Thank you.

12 Dr. Fiehn.

13 PANEL MEMBER FIEHN: I'm professor Oliver Fiehn
14 at the UC Davis Genome Center. I'm an analytical chemist,
15 and toxicologist.

16 DR. COGLIANO: Thank you.

17 Dr. Hoh.

18 PANEL MEMBER HOH: Hi. I'm Eunha Hoh. I'm a
19 professor of environmental health in School of Public
20 Health at San Diego State University.

21 DR. COGLIANO: Thank you.

22 Dr. McKone.

23 PANEL MEMBER MCKONE: Hello. I'm Tom McKone,
24 professor emeritus at the University of California,
25 Berkeley, School of Public Health. Also a retired

1 affiliate at the Lawrence Berkeley National Laboratory.

2 DR. COGLIANO: Dr. Quintana.

3 PANEL MEMBER QUINTANA: Hi. I hope you can hear
4 me.

5 DR. COGLIANO: Yes, we can.

6 PANEL MEMBER QUINTANA: I'm a professor of public
7 health at San Diego State University.

8 DR. COGLIANO: Thank you.

9 Dr. Singla.

10 PANEL MEMBER SINGLA: Hello. Good morning.
11 Veena Singla, Senior Scientist with the Natural Resources
12 Defense Council in the Healthy People Thriving Communities
13 Program.

14 DR. COGLIANO: Thank you.

15 Dr. Suárez.

16 PANEL MEMBER SUÁREZ: I'm José Suárez, associate
17 professor in the School of Public Health at the University
18 of California, San Diego.

19 DR. COGLIANO: Thank you.

20 And our Chair, Dr. Schwarzman.

21 CHAIRPERSON SCHWARZMAN: Good morning. Thanks,
22 Vince. I'm Meg Schwarzman. I'm in the Environmental
23 Health Sciences Division at the School of Public Health at
24 UC Berkeley. And if we've had all of the Panel members
25 introduced themselves?

1 DR. COGLIANO: Yes, we have. So now it's time
2 for me to hand it off to you to Chair the meeting. Thank
3 you very much.

4 CHAIRPERSON SCHWARZMAN: Great. Thanks so much,
5 Vince. Right here at the outset, I just have an ex parte
6 contact to disclose. In September, 2020 I was contacted
7 by phone by Mr. Greg Hurner of Carpenter Sievers --
8 Sievers, sorry -- Carpenter Sievers, requesting a meeting
9 to discuss evidence on quaternary ammonium compounds, the
10 subject of today's meeting, which we will refer to as
11 QACs. And I just directed him to submit materials or
12 comments through the Program staff.

13 So I want to review the goals of the meeting for
14 the Panel today. In the morning session, we will, as
15 usual, receive a Program update. And we'll then review
16 possible options for statewide surveillance, which was
17 what we discussed at the November 2020 SGP meeting. And
18 staff has since developed further ideas based on that
19 discussion, so we'll review it today.

20 The remainder of the meeting will focus on the
21 SGP's consideration of quaternary ammonium compounds, or
22 QACs, as potential priority chemicals. To inform our
23 deliberations, we'll hear from four guest speakers, Bill
24 Arnold of the University of Minnesota, Amina Salamova of
25 Indiana University, Libin Xu of the University of

1 Washington, and Keith Hostetler of Toxicology and
2 Regula -- Toxicology Regulatory Services.

3 As usual, there will be time for questions from
4 both the Panel and the audience after each guest talk.

5 After the presentations, the Panel will formally
6 deliberate on whether to recommend QACs as priority
7 chemicals for the Program for Biomonitoring California.
8 And that session will include three parts, an overview of
9 the potential priority chemical document by OEHHA, a
10 public comment period, and then a discussion by Panel
11 members.

12 And the final option of the day, after the
13 deliberation and discussion by the Panel members, is an
14 open public comment period.

15 So as I mentioned, after each presentation, there
16 will be a question-and-answer period, so speakers should
17 remain unmuted with their webcam showing, so that they can
18 respond to questions from the Panel and the audience.

19 If SGP members wish to speak or to ask a
20 question, please just raise your hand - like physically
21 raised your hand - and I will call on you at the
22 appropriate time. And then at that moment, you can unmute
23 yourself to ask your question or provide your comment. If
24 webinar attendees have questions or comments during the
25 periods after each talk, you have a few choices. You can

1 submit them via the question feature of the GoToWebinar
2 platform or you can send an email to
3 biomonitoring@oehha.ca.gov. That's biomonitoring@
4 O-E-H-H-A .ca.gov. And just a reminder to please keep
5 your comments brief and focused on the items that are
6 under discussion. Relevant comments will be read allowed
7 paraphrasing, if necessary.

8 We can also receive oral comments from webinar
9 attendees during the public comment periods and the
10 afternoon discussion session. So if you wish to speak,
11 please use the raise hand feature or the question feature
12 in GoToWebinar, and they'll get that message to me and
13 we'll call on you at the appropriate time.

14 So I would like to start our first session by
15 introducing Nerissa Wu. Nerissa is Acting Chief of the
16 Environmental Health Investigations Branch at the
17 California Department of Public Health. She's overall
18 lead for Biomonitoring California. And she will provide
19 us an update on current Program activities and an overview
20 of possible options for statewide surveillance.

21 (Thereupon a slide presentation.)

22 DR. WU: Good morning, everybody. I hope you can
23 hear me.

24 MS. JARMUL: Yep.

25 DR. WU: Okay. Let me head over to my screen.

1 All right. Sorry for the delay. No matter how
2 many times I do this, it takes me a minute to figure out
3 all the different buttons.

4 Okay. So welcome everyone and good morning. I'm
5 going to give a brief update on various Program
6 activities, including the CARE Study, AB 617 work, and
7 then where we are in consideration of our surveillance
8 options as the Program.

9 But before I get to staff updates, I do want to
10 mention that Biomonitoring California is now a member in
11 the National Biomonitoring Network.

12 --o0o--

13 DR. WU: This is an effort led by APHL to provide
14 guidance on standardization across the state programs. We
15 always really learn a lot in interactions with programs
16 from other states. So looking forward to having another
17 forum within which we can do so.

18 We have had some changes to staff, since our last
19 meeting. We have two new lab people, Dinesh Adhikari at
20 EHL, and Jagdish Dhaliwal at ECL. We've also lost one
21 person from ECL. Ting Jiang has left her position. And
22 we have a couple other staff changes, including Marley
23 Zalay, who you know from this forum, who has left her
24 position at OEHHA, and Lauren Baehner, whom you have also
25 heard from here, particularly about metals in the ACE

1 Project, has left her position at EHIB. So welcome to new
2 staff. We look forward to working with you. And big
3 thanks to our departing staff for all of your
4 contributions to the Program.

5 We have one other staff change, which is not
6 reflected on this slide. Dr. Kathleen Attfield has taken
7 on a new role in Biomonitoring. She will be the Chief of
8 the Biomonitoring Investigations and Outreach Unit. So
9 really looking forward to continued greatness from
10 Kathleen.

11 CDPH staff continue to be redirected to
12 COVID-related work, to a large extent. I think it's hard
13 to appreciate what that means, if you're not in the
14 Department of Public Health. But within EHIB about 80
15 percent of our staff have been redirected, to some extent,
16 meaning that either some percentage of your day is spent
17 on COVID work or you're rotating in and out of COVID
18 positions. And then there's some people who are just
19 working on COVID for months at a time.

20 So all staff are impacted. If you yourself are
21 not redirected, you are helping cover work for your
22 colleagues who are redirected. And this is obviously our
23 public health priority right now and it makes sense that
24 we're working so much on COVID. But the impacts on our
25 Program, and on our team, and the work that we are trying

1 to do here, the impact is significant.

2 --o0o--

3 DR. WU: So it was a year ago that we had to make
4 the call to shutdown CARE-3 in San Diego and Orange
5 counties. We had gone through two rounds of participant
6 selection in accordance with our sampling quotas. But
7 given the short period of time that field work was up and
8 running, the breakdown of participants who were able to
9 complete study participation did not reflect those quotas.

10 The next few slides are just to give you a sense
11 of who those participants were. And we had hoped to reach
12 350 participants. But at the time we closed down, we had
13 only collected samples from 90 of them.

14 And if you remember, we were having some delays
15 getting our Orange County office up and running, due to
16 difficulties finding short-term staff for those offices.
17 And so you, if you see on this map, 84 percent of
18 participants are from San Diego, and only 16 percent are
19 from Orange County.

20 --o0o--

21 DR. WU: Because we had to end early, the
22 participants who completed the study did not reflect the
23 region by race, as you see here.

24 --o0o--

25 DR. WU: The majority of participants are female,

1 which is not unusual for a study like this. But almost
2 all had more than a high school education, which is not
3 reflective of the county or the region. Median age of
4 participants is 53 years old. And 98 percent of the
5 participants were Internet participants, meaning that they
6 completed their informed consent, and their questionnaire,
7 and they made their appointment online. It makes sense
8 also, because getting the paperwork back and forth through
9 the mail took much longer. And so in the short time our
10 offices were open in San Diego and Orange, we didn't have
11 time really to have that -- the paper participants get
12 enrolled and then to give their samples.

13 As for how participants found out about this
14 study, 37 percent came from a randomized household
15 postcard, 43 percent came from Craigslist, and the
16 remainder came from community groups, family, friends, and
17 et cetera.

18 So these differences between CARE-3 and Region 3
19 will be important to remember and also consider when we
20 have summary data to look at.

21 --o0o--

22 DR. WU: And here's where we are with the CARE
23 study. At our last meeting, we were on track to return
24 results on schedule, meaning that this month we'd be
25 sending packets out to our CARE-3 participants. But there

1 have been some delays in getting lab results back. The
2 labs are also not immune to the impacts of COVID. We have
3 been able to call back our participants with elevated
4 metals levels in our prescribed follow-up. And we hope to
5 have those packets -- the full results return packets out
6 to participants soon.

7 --o0o--

8 DR. WU: And then a quick update of the AB 617
9 projects. Since our last presentation, the team has been
10 working to identify a facility that would be appropriate
11 for an air filtration intervention study. So they've been
12 focusing on schools, which will be -- we'll be looking at
13 sites based on factors such as what are the local ambient
14 pollutant levels, the type of filtration that they've
15 installed, facility size, and associated economic
16 demographic characteristics at those schools.

17 So we're working with Cal to identify both
18 biomarkers and air quality -- air analytes to measure. We
19 don't know when we'll be able to get out into the field.
20 But the hope is that sometime by the end of the year we'll
21 be able to complete field work.

22 At our next SGP meeting in July, we'll be
23 focusing on biomarkers of effect. And so we will also
24 feature a detailed update of the study.

25 --o0o--

1 DR. WU: So what we're going to spend the rest of
2 our time talking about is an update of where we are in our
3 work to develop a new surveillance project. And I know
4 we've talked about surveillance a lot. This is not to
5 take away from intervention studies or community-focused
6 studies. They're all valuable types of studies that were
7 very informative. But our focus right now is trying to
8 figure out how to continue with surveillance.

9 --o0o--

10 DR. WU: And a few reminders of the context that
11 we're working with. Statewide mandates in our
12 legislation, and surveillance is to be used to evaluate
13 levels of chemicals in a representative sample of
14 Californians, to look at trends over time, and also the
15 effectiveness of public health efforts and regulatory
16 programs.

17 --o0o--

18 DR. WU: Some of the characteristics of
19 surveillance that we talked about last time, surveillance
20 needs to be representative, so that we can take that data
21 and compare it across studies and across time. We want to
22 be collecting data that's useful, so that we can have an
23 impact on public health. And the protocol needs to be
24 acceptable, so that people will actually enroll in the
25 study and take part in it. The other thing is that

1 surveillance really does need to be a stable protocol,
2 something sustainable, so that we can implement it
3 reliably across time, so that we can see temporal trends.

4 --o0o--

5 DR. WU: Now, of course, the dominant factor in
6 our discussion is always our budget and the financial
7 realities that the Program faces. As we've discussed
8 before, the Program essentially has the same baseline
9 budget that we've had since 2007, when we were founded.
10 And this budget covers only staff. There's no money in
11 our budget for field expenses like contracts, or supplies,
12 or rents, or participant incentives.

13 So one of the questions that came up in our last
14 meeting was about how much does each study component cost
15 and what makes sense for us to try to save on when we
16 design a new protocol? And this is a function not just of
17 dollars, how much does something cost in dollars, but also
18 how the Program can spend money, so our State mechanisms
19 for how we pay for things in the State system, the process
20 we go through for contracting or for bringing on temporary
21 staff. And this presents some challenges and barriers to
22 us being able to move forward. So it's something we have
23 to consider when we are looking at options for
24 surveillance.

25 The other cost that we really don't talk about a

1 lot is the cost of staff time. So we have talked here
2 about how -- the way we got CARE-LA and CARE-2 off the
3 ground, is that our staff were working in a way that was
4 not really sustainable. And the effort it takes to
5 conduct any study is really considerable, but particularly
6 something like CARE, where we're moving from region to
7 region. So every time you go to a new region, you've got
8 to scout out the region and understand it, make
9 connections with stakeholders, conduct outreach, find
10 venues for sample collection, and then you have to find
11 staff to run your offices.

12 So, at the same time, your staff is also doing
13 things like developing quotas, selecting participants, and
14 then managing those participants through the system. So
15 this is really resource intensive in terms of staff hours.
16 And so if you have a protocol that takes a lot of staff
17 hours, those staff can't be doing things like analyzing
18 statistics and getting data out of the Program. And that
19 has been a challenge for the Program. It's something that
20 we have really struggled with.

21 Somebody recently asked me what would it take for
22 us to get back into the field doing CARE the way we were,
23 one region at a time. Just a rough estimate I came up
24 with was that we'd really have to almost double our
25 budget, in order to get back to doing a sustainable CARE

1 program. So that just gives you a little bit of a context
2 of our budget.

3 --o0o--

4 DR. WU: So the last time we talked about some of
5 the factors that we would want to consider and we heard
6 about this in the overview in the introduction. Some of
7 the things that I heard in our discussion were that the
8 time trend is a priority. We want to really be able to
9 look at exposure over time.

10 While we might not be able to cover the entire
11 state, it might be important to compare sectors, like
12 rural versus urban might be important. We heard that we
13 should focus on things that make California unique, the
14 border area, immigrant population, pesticide usage, air
15 pollution. We also heard that we should look for less
16 expensive ways to biomonitor, for example, using samples
17 that are already collected, or to evaluate exposure
18 through wastewater surveillance.

19 Well, today, I want to provide you an update of
20 where we are in our consideration of these options. With
21 the input that we got, we have narrowed this down to a few
22 possible types of studies, either obtaining samples from
23 an existing study or working with a program with good
24 coverage of the state. So that could be a health care
25 provider, or some other blood collection program like

1 Genetic Disease, or we continue to do field work the way
2 we have been doing it, but really limit the geographic
3 region that we're trying to cover.

4 And at this point, we are still talking about our
5 conventional biomonitoring with blood and urine samples.
6 We did note that there was interest in looking at
7 wastewater surveillance. It's something that we would
8 like to continue to learn about and consider maybe as a
9 companion to biomonitoring or as a way to screen for
10 emerging chemicals at some point.

11 --o0o--

12 DR. WU: So just in brief, we did look at studies
13 that might have banked samples. These are just some
14 examples of those. All really excellent studies, but not
15 really designed to reflect an underlying population. So
16 in that way -- not really a good match for surveillance.

17 The other issue was that they are generally not
18 ongoing into the future. So if we're looking at exposure
19 in the present or in the future, these are not examples of
20 sources of samples that might work out, but always willing
21 to hear about other studies. If you have other ideas of
22 studies we could look into, please let us know.

23 --o0o--

24 DR. WU: So we'll be focusing on the other three
25 alternatives from the last slide. And the scenarios I'm

1 going to describe to you, this is very high level. These
2 are just potential study designs. This is still very much
3 a work in product -- progress. Just a report back on what
4 our current thinking is.

5 So the two big costs of studies that we've talked
6 about are participant management and field work. So with
7 that in mind, we've really focused on study designs that
8 minimize those expenses.

9 So the first example is partnering with a high
10 coverage health care provider. And with this, we could
11 design a study that would cover the state, or a region, or
12 a really limited focused area depending on the provider's
13 coverage, and then we could select participants from the
14 membership rolls in accordance with our eligibility
15 criteria.

16 And then we have talked about the potential of
17 partnering with Kaiser, as we did for Project BEST.
18 Kaiser has the broadest coverage across California. And
19 we have experience with working with them. And when we
20 conducted BEST in 2013, this was the first collaboration
21 of its type. And there were a lot of issues to work out.
22 It was a very resource-intensive study. And this is not
23 something we could support at this point in our Program.

24 But there have been many studies since then.
25 Kaiser's research arm has really evolved since then. And

1 we've started to have some discussions with staff about
2 how this might work out. And it's been sufficiently
3 promising and that we do want to continue to learn about
4 how this might work.

5 So one of the benefits of working with Kaiser is
6 that they have the ability to order lab work. The samples
7 of research are part of their medical request menu. So
8 your participants could just go to the Kaiser lab that
9 they normally go to, and the protocol for collecting that
10 sample would already be in the system as a standard
11 operating procedure.

12 The flip side of that is that the protocols are
13 established, so the Program would have no ability to
14 change that protocol. So that's an element of control we
15 would lose. Also for Kaiser collaborations, Kaiser staff
16 really are the initial point of contact for participants.
17 So that whole task of participant interface also takes
18 place outside of the Program in a little bit of loss of
19 control over that.

20 The benefits of this kind of collaboration are
21 really clear. It's a sampling frame for which we have the
22 contact information, the potential participants are
23 already in a relationship with Kaiser, and there's a
24 potential to look at exposure data along with health
25 outcome data, which would be great. And there's no need

1 we want it to be statewide, or regional, or in a smaller
2 focused area as a breadth versus depth argument.

3 But we could work with GDSP to get demographic
4 information. And in early talks with GDSP, we have
5 indication that we would be able to get, if not exact
6 addresses, we could get some blurred geocodes for where
7 participants are coming from, and we'll have to think
8 about what that means in terms of identifying things like
9 drinking water source or air quality.

10 --o0o--

11 DR. WU: Considerable benefits of this protocol.
12 We would have the ability to do probabilistic sampling
13 over whatever geographic area we chose. In this case,
14 there would be no concern about participant rates or
15 participant enrollment or management to worry about, no
16 field office.

17 Another unique benefit of this type of study is
18 that the cost of samples is lower than when you have
19 actual participants signing up, but it's also a
20 transaction within the State, which is easier for us to
21 work with, than contracting with outside vendors or hiring
22 staff externally.

23 The Biobank does have samples from the past. And
24 so, it would be possible, for example, to do a PFAS
25 temporal trend looking back in time, and then moving

1 forward as we collect samples into the future.

2 The samples can also be used as a screen for
3 emerging chemicals, which is interesting. We do know
4 about some of the downsides of these samples. It's only
5 serum. There is a contamination issue, so that we
6 wouldn't be able to measure -- there are a number of
7 chemicals we wouldn't be able to measure. And we have
8 talked about how this is a subset of pregnant women in
9 California, those who enroll in the State Prenatal
10 Screening Program. And then there is no exposure
11 information or interaction with the participants
12 themselves.

13 --o0o--

14 DR. WU: Finally, we've talked about a model, in
15 which we would conduct participant recruitment and field
16 work, much like we've done in the past for studies, but
17 we'd really limit the scope to a particular geographic
18 area. I think last time, I mentioned Sacramento County is
19 maybe an area where we could do urban, rural, or maybe
20 some agricultural areas. Although, based on cost, doing
21 something in our immediate vicinity of our office, like in
22 the Bay Area, would make sense logistically.

23 The model could accommodate probabilistic
24 sampling, or we could continue quota sampling, as we've
25 done for CARE.

1 --o0o--

2 DR. WU: Adapting CARE to something that focuses
3 on narrower -- a narrow geographic area close to our
4 office does reduce the cost of field work, but it doesn't
5 eliminate it. So we'd still have to figure out a way to
6 cover those costs. And if we were to conduct probability
7 sampling, we could look at temporal trends for that
8 focused area, but it would not give us an estimate for
9 statewide exposure.

10 Another challenge is that in order to do
11 probabilistic sampling, we would need to get a sampling
12 frame that file of contact information for eligible
13 individuals in our area. And this is actually a
14 considerable expense and requires a lot of staff time to
15 manage.

16 There is participant selection and recruitment
17 also. Studies like NHANES spends enormous effort on this
18 component of the study. Sixty percent of NHANES
19 participants require six contacts before they sign up. So
20 this would be a lot of participant management on the part
21 of our staff.

22 --o0o--

23 DR. WU: So you can see how these potential
24 studies compare. There are benefits of each type, but
25 there are also challenges of each type. So our continuing

1 task is to further develop these protocols, get some more
2 information about the cost, so we can really hone in on
3 this list. We anticipate that we will not be out in the
4 field conducting surveillance work in the next fiscal
5 year.

6 --o0o--

7 DR. WU: But our hope is that by next summer,
8 we'll at least have a direction, so that we can start
9 developing a study protocol. And as always, we welcome
10 your input and advice on this.

11 So with that, I will end my talk and open it up
12 to questions.

13 CHAIRPERSON SCHWARZMAN: Thank you so much,
14 Nerissa. We have ten minutes here for questions from the
15 panelists. And just a reminder of how we'll proceed. The
16 panelists can restart their video and then just raise your
17 hand. And I will keep an eye out and call on you.

18 So we'll start with Veena.

19 PANEL MEMBER SINGLA: Thank you so much, Nerissa,
20 for that very informative presentation. My question was
21 about the GDSP and would there be the ability to return
22 results to participants through that program?

23 DR. WU: No. Whenever you get samples from GDSP,
24 you do not have participant IDs. There is linkage that
25 can happen, so that they can get us information. You

1 could -- there are studies that link vital stats, for
2 example, in newborn outcome data. So that is a
3 possibility, but we don't actually get the participant
4 identification. So there is no interaction directly
5 with the source of the sample to the participant.

6 CHAIRPERSON SCHWARZMAN: Taking off on that
7 point - thank you for that Veena - you know, I know that's
8 a hallmark of the Biomonitoring Program under this -- or
9 the studies under this Program. How have you thought
10 through approaching that of not being able to do results
11 return in that setting?

12 DR. WU: Well, so results return is one of the
13 things that -- one of the real predicates of the Program
14 and it's also part of our values is right-to-know. So
15 being able to return results and have a conversation about
16 the significance of the results, I think it's really part
17 of building community capacity and understanding of
18 environmental health. So it's hard to give that up.

19 However, we could do broader kind of education
20 and outreach not of a particular population. These are
21 not your samples, but, you know, we could still reach out
22 to mothers, or pregnant women, or to a general audience
23 of, you know, this is what we're finding in the pregnant
24 population.

25 I should say that the results return effort is

1 not insignificant, and so there are benefits of not
2 returning results, right? You know, that frees our staff
3 up to do other things. The other thing is one of -- one
4 of the issues we've had with doing non-targeted screening
5 is it creates some issues with results return. And so
6 having anonymous samples actually would free us up to do
7 some of that work.

8 But, you know, it is a mixed -- I do have mixed
9 feelings about it, because I do feel very strongly that
10 having this interaction and having this educational
11 component of our studies is really important.

12 CHAIRPERSON SCHWARZMAN: Thank you for those
13 thoughts, Nerissa. It was really helpful.

14 And I -- one of the things I'm hearing you say is
15 some of the options that it actually opens, like doing
16 non-targeted screening and undercurrent constraints, you
17 know, sort of maximizing staff time, while recognizing
18 that it's not the goal of the Program, but it does
19 accomplish -- it does -- it potentially helps accomplish
20 some other goals under very -- a setting of constrained --
21 resource constraints. So anyway, I appreciate that sort
22 of elaboration.

23 Other questions from panelists?

24 Yeah, Oliver.

25 PANEL MEMBER FIEHN: So one of the problems in

1 collection -- collections is, of course, you know, having
2 a person go into a doctor's office or somebody who's
3 collecting the blood. There are alternatives, like dried
4 blood spots and dried plate spots, which would be sent by
5 mail and then could be sent back. Is that a possibility
6 that has been considered, or is that just so little
7 material, or so contaminated that it would be of no use to
8 the Program?

9 DR. WU: Well, sure, I mean, I think it would be
10 great to have different media that are more -- that are
11 easier to collect. I think there is a question about the
12 contamination. I think Jessica Nelson in our last forum
13 from Minnesota was talking about it, and they've recently
14 put out data on how the dried blood spots they were
15 collecting in their newborn program really had very poor
16 correlation with the cord blood for a mercury study they
17 were doing. And we want to look at that carefully and
18 think about whether the blood spots really are a good
19 representation of exposure.

20 There is, of course, the potential for
21 contamination. Even in a hospital setting, you have --
22 the blood spots are in all sorts of environments. But if
23 they're coming from people's home, you don't have a whole
24 lot of control over how that blood spot is taken. These
25 are the concerns with urine samples, where you want to be

1 really careful about how they're handled, and managed, and
2 sent back to you.

3 So I think it would be interesting to look
4 into -- and actually I'd like to hear from our lab folks
5 about what the method might look like and whether there'd
6 be enough material for them to really do a lot of
7 screening on those samples.

8 CHAIRPERSON SCHWARZMAN: Any other panel members?
9 Yes, José, please.

10 PANEL MEMBER SUÁREZ: I have a question about
11 CARE-3. So is the thought right now then to not resume
12 CARE-3 down the line?

13 DR. WU: I do not think we will be resuming
14 CARE-3. When we first shutdown, when we thought it was
15 not a long-term shutdown, there was a thought that maybe
16 we would be able to pick up where we were. At this point,
17 we would have to start completely over again, because
18 we're, you know, a year out. We'd have to restart an
19 office, restart with participant -- with new participants.
20 We just do not have the funding for that right now.

21 PANEL MEMBER SUÁREZ: (Nods head.)

22 CHAIRPERSON SCHWARZMAN: Eunha, did you have your
23 hand up? Yeah.

24 PANEL MEMBER HOH: Just curiosity that I want to
25 hear Nerissa's opinion thoughts about. If, you know,

1 multiple different studies or the ways to recruit the
2 participants, I think there it seems like obviously some
3 sample amounts, you know, the volume is limited, so the
4 one participant, you know, subject we cannot measure
5 every, you know, the priority chemicals from the one
6 subject. It maybe has to be -- like these participants
7 have to be measured for this and these different
8 participants have to be measured for different chemicals.
9 Do you have any thoughts on that, or is that a limitation,
10 or do you think it's okay?

11 DR. WU: Sorry. If I understand your question,
12 correctly, you're asking for the Genetic Disease samples,
13 if we don't have enough volume, could we just get like two
14 pools of samples and do different analytes on them? Yeah,
15 I mean --

16 PANEL MEMBER HOH: Right. Yeah. Yeah.

17 DR. WU: And that is one of the things about
18 doing probabilistic sampling that you are creating a
19 generalizable set of data. So, yeah, that would be
20 possible, if we had the funding to purchase two sets of
21 samples. I think the limits are if we wanted to do
22 POPs -- if POPs were a priority of ours and we really
23 wanted to do lipids, that is a possibility that we could
24 have a set of samples set aside for that. But because
25 it's serum and because there is this contamination issue,

1 there are some analytes that we just won't be able to do
2 even if we have the volume, metals in particular. And,
3 obviously, all the urine metabolites we won't be able to
4 do.

5 PANEL MEMBER HOH: Um-hmm.

6 CHAIRPERSON SCHWARZMAN: Veena.

7 PANEL MEMBER SINGLA: Two questions. One is a
8 topic I know we've discussed numerous times on this Panel,
9 which is related to the NHANES program. But I thought I
10 would just ask again, given there's, you know, a new
11 administration, and just wondered if it's -- if there's
12 any opportunity to potentially partner with what NHANES is
13 doing in California or leverage any of their samples at
14 all. It's -- like I said, I know we talked about this
15 before and there's a lot of challenges there, but just
16 thought I'd -- I'd ask given new federal context.

17 And my second question is about the potential
18 utility of other kinds of biological samples, like hair,
19 teeth, and nails, and might there be any role for those --
20 those kinds of samples that tend to be a lot easier to
21 collect?

22 DR. WU: For the first question, I'll say that
23 NHANES data for California, it is not a recent issue that
24 it's difficult to get NHANES data for California. That's
25 something that has -- that has -- that has been an issue

1 for many administrations. So I don't think the new
2 administration will have a -- will have bearing on that.
3 Though, Jennifer, who has -- Jennifer, chime in if you
4 have anything else to say about NHANES.

5 As far as other media, hair, nails, and teeth, I
6 think they do suffer from some of the deposition issues,
7 like how representative they are, like where in your hair
8 do you take that sample, for example? So I think those
9 methods are worth pursuing. I don't think it's the
10 Program's role necessarily to do that methods development.
11 But certainly, once those methods are robust and
12 demonstrated would be something that we'd be interested in
13 looking at.

14 DR. MANN: I'll -- I chime in about the NHANES
15 question. Can you hear me?

16 DR. WU: Yeah. Thanks, Jennifer.

17 DR. MANN: So NHANES is not designed to be
18 representative of any state, so we can start there. They
19 always include California. They usually include LA
20 County -- somewhere in LA County. But those samples are
21 meant to be used nationally, so they're not representative
22 of LA County either. They do a lot of their Hispanic
23 sampling in LA, and things like that. So that's one of
24 the issues.

25 The other is NHANES is notoriously private about

1 where they're doing their samples, even though now I
2 imagine an Internet site that will identify each county
3 where they're doing the sample in the country, because
4 people can see the trailers.

5 But those are two issues that have gone back
6 since the beginning of NHANES. So they really have to do
7 with their sample design and their high level of
8 confidentiality.

9 MS. HOOVER: Hello, Meg. This is Sara. I just
10 want to make sure everyone knew that was Jennifer Mann.
11 Just remember --

12 DR. MANN: Sorry.

13 MS. HOOVER: -- everyone who speaks to identify
14 themselves and hopefully share your webcam, if you're
15 commenting.

16 I actually wanted to chime in in response to the
17 hair/teeth question from Veena. We actually examined
18 different media early in the Program and there are a
19 number of issues with hair. There's contamination issues.
20 We actually settled squarely on blood and urine. And
21 urine is pretty easy to collect in fact, at least that's
22 been our experience overall. So I don't think that that
23 would be a positive development or a feasible path. As
24 Nerissa said though, we'll definitely keep our eyes on,
25 you know, innovative methods development and keep that in

1 mind.

2 CHAIRPERSON SCHWARZMAN: Kathleen, did you have
3 something?

4 DR. ATTFIELD: Yeah. Hi. This is Kathleen
5 Attfield from CDPH. I just wanted to chime in on the --
6 another aspect of the NHANES question and that we've
7 recently had the biomon -- the CDC biomonitoring meeting
8 across granted states, which doesn't include us now, but
9 because everything is virtual, many other states are able
10 to participate. And they really put a big focus on the
11 difficulties, the complexities, and the expense of all the
12 field work and sort of the very epi heavy side of
13 surveillance that many states are now trying to do. A lot
14 of states have started off with more community or local
15 based sampling, and now many more are trying to do more
16 representative statewide sampling.

17 So they, in that meeting, had really recognized
18 that a greater coordination and maybe cost sharing of
19 resources at the -- you know, across states and nationally
20 was something they wanted to look into. And that could
21 really benefit us down the line, because there is a bit of
22 every state starting from scratch. And so that's more
23 resource and cost intensive of course.

24 So thanks for the question.

25 CHAIRPERSON SCHWARZMAN: One final brief question

1 before we move on to public comment. Forgive me, Nerissa,
2 if you already explained this in your presentation and I
3 missed it, but, you know, I know that Kaiser covers about
4 a quarter of the State's population, but I imagine it's
5 not truly representative. But are there sufficient
6 numbers to get a truly representative sample of
7 participants from Kaiser's membership?

8 DR. WU: I actually am going to call on Jennifer
9 Mann again for this, because she has looked into the
10 comparison between Kaiser's population and the underlying
11 population.

12 Jennifer.

13 DR. MANN: Yes. This is Jennifer. And now I'm
14 sharing my webcam. So, yeah, they actually --

15 MS. JARMUL: Sorry, Jennifer, we can't hear you
16 very clearly.

17 DR. MANN: Because my headset --

18 MS. JARMUL: There you go.

19 DR. MANN: -- my microphone was not in the right
20 place. Sorry about that.

21 So Kaiser has -- has done -- routinely does
22 comparisons with the demographics of their population and
23 the demographics of California, plus other insured
24 populations. So they have a lot of underlying data that
25 would allow us to do a probability sample and then weight

1 it properly to be reflective of the population and they
2 have plenty of members. The one thing that I'm not sure
3 about is what the coverage is in different parts of
4 California. So I imagine in the northeastern parts of
5 California, there may be less coverage, so that's one
6 area. But in the most populated areas of California, it
7 has good coverage and is pretty reflective of the
8 population. But as I said, that's not a deal-breaker,
9 because they have all the information they need to do
10 proper weighting.

11 CHAIRPERSON SCHWARZMAN: Great. Thank you for
12 that, Jennifer.

13 I want to -- let's see, José has one final
14 question. You know what let's do, I want to move -- can
15 you hold on to that José, because I want to make sure we
16 get public comment in. And if we have remaining time,
17 let's return to that.

18 So we have about 15 minutes allocated, a little
19 bit less now, for public comment. And I will just turn
20 first to Elizabeth Marder to find out if any comments have
21 been received.

22 DR. MARDER: We do not have any comments yet, but
23 we do have one hand raised in the audience.

24 CHAIRPERSON SCHWARZMAN: Why don't we call on
25 that person for the comment.

1 DR. MARDER: Okay. That is Jianwen She. I'm
2 unmuting you now.

3 You will need to unmute yourself as well.

4 There you go.

5 DR. SHE: Yes, I did.

6 And can you hear me?

7 DR. MARDER: We can.

8 DR. SHE: Yes. Jianwen She, Biochemistry --
9 Section Chief of Biochemistry.

10 I'd like to address and answer Professor Fiehn's
11 question about the dry blood spots. So generally consider
12 like contamination might be a problem. We did some dry
13 blood spots work with 50 microliters, that's equal to one
14 blood spots. We did PCB, PBDE analysis. From this
15 process, we learned contamination issue can be very well
16 avoided or overcome. For examples, you can measure the
17 metabolite, which environmentally may not have the
18 capability to convert the parent compound into the
19 metabolite. That's one way.

20 Secondly, like PCB, you know that 209 line
21 congeners, environment have the dominated PCB. We call it
22 indicator PCB. But in human bodies tended to be the
23 coplanar PCB, which might not have like 77, 126, 169 line.
24 It's more -- maybe more important for Biomonitoring
25 Program is coplanar PCB. There are a lot of -- except the

1 77, 126, 169 line is rarely found in the contaminations.
2 So now I can see a lot of examples, 2 through 78 is most
3 toxic dioxins, 17 of them out of 210. So biomonitoring
4 the relevant for the Biomonitoring Program congeners, you
5 also can address contamination issues.

6 Third approach, Nerissa already mentioned like
7 Minnesota, basically you try to team up with genetic
8 disease program try to convince them to use the device, in
9 this case is a filled paper -- filter paper to collect dry
10 blood spots. You can pre-wash, pre-clean up the filter
11 paper, which can be mutually compatible between the two
12 programs.

13 Some laboratory already start on that. I forgot
14 that's GE, which holder, the manufacturer workman, they
15 already started the cleanup of filter paper. We
16 collaborate with them also. So that's I'm sure try to
17 overcome the challenge. It might be worthwhile and then I
18 believe laboratory can get it done.

19 Thank you.

20 CHAIRPERSON SCHWARZMAN: Thanks very much.

21 So just a note to panelists that we do have
22 following this public comment period, we still have 20
23 minutes for Panel discussion and recommendations to the
24 Program about surveillance screening.

25 So keep noting your thoughts. And I just want to

1 check with Elizabeth if we have any more public comments?

2 DR. MARDER: We do have an additional -- we have
3 no comments or emails, but we do have an additional
4 speaker -- person who would like to speak, if I may,
5 unmute them.

6 CHAIRPERSON SCHWARZMAN: Great.

7 DR. MARDER: Nancy Buermeyer. I have -- I am
8 unmuting you. And you will need to unmute yourself and
9 you should be free to speak.

10 MS. BUERMEYER: How does that work? Can you guys
11 hear me?

12 DR. MARDER: Yes.

13 MS. BUERMEYER: Excellent. Hi, everybody. Nancy
14 Buermeyer with the Breast Cancer Prevention Partners. I'm
15 sorry not to see you all in person. I always love coming
16 to the meetings when able.

17 This may have been a more appropriate comment for
18 the end of the day, but I'm not sure what my schedule has
19 in store for me. So I just wanted to let everyone know,
20 first of all, how much we love the Program, which I am
21 frequently saying to all of you. But I'm also continuing
22 to try to get more funding for the Program. And Nancy
23 Skinner, who is the Senator for the area of the State
24 where the Program lives, is now the Chair of the Budget
25 Committee. So our focus right now is to try to urge

1 Nancy -- Senator Skinner to make this a priority
2 funding -- a priority Program for her to fund.

3 And I think it would be super helpful if this
4 body were to send a letter to her talking about the need
5 for additional funding. And just FYI, the request that I
6 have put in right now is for two million a year going
7 forward from general funds.

8 So fingers crossed, we're continuing to organize
9 more folks in support, and I just wanted to let folks know
10 that I'm working on that.

11 DR. WU: Thank you.

12 CHAIRPERSON SCHWARZMAN: Thank you for the
13 update, Nancy. In the past, the Panel has coordinated at
14 times on a letter, you know, that's obviously separate
15 from the Program itself, to the heads of each of the
16 organizations that oversee the Program. And then we've
17 left it up to individual Panel members, if they wish to,
18 you know, use that letter to advocate with their elected
19 officials or anything, but I'm happy to hear other
20 discussion if there's additional activities that people
21 would like to take.

22 Sorry, I just muted myself.

23 Elizabeth, if there's -- I just want to check for
24 any more raised hands, because we are still in the public
25 comment period.

1 DR. MARDER: No raised hands. No comments. No
2 questions.

3 CHAIRPERSON SCHWARZMAN: Okay. In that case,
4 thank you for those. And we can return to Panel
5 discussion and recommendations, any input that we have for
6 the Program, about the additional work that they have done
7 in the interim about options for statewide surveillance
8 following up on some of the discussions that we had at our
9 last meeting, and directions that you would like to see
10 the Program go, or other ideas for -- they could
11 investigate.

12 And I want to start with José who had a question
13 or a comment right before we went to public comment.

14 PANEL MEMBER SUÁREZ: Very good. Thank you.

15 So just following up on some of the surveillance
16 options that Nerissa was mentioning, it kind of seems like
17 the combination of Kaiser with the focused area
18 surveillance may be some of the best sources of
19 participants to reduce the costs and to maintain how
20 representative the sample is, at least from the Kaiser
21 side, yes, I guess we don't exactly know how much it's
22 going to cost per participant or sample ultimately
23 collected. But I could imagine it would be a lot less
24 than having to run the study by itself.

25 I think Kaiser is just present in most parts of

1 the state. And for some of those areas of which there is
2 not that great of coverage, then that could be then
3 switched over to focus areas surveillance, so then we're
4 kind of focusing on specific groups of concern. It could
5 be a thought. I like the thought process that you have
6 been going through and looking at the different options
7 maybe for this.

8 DR. WU: Sorry, to clarify. If I understand you,
9 you're recommending Kaiser in areas where their -- where
10 their coverage is robust, and then in areas that are not
11 well covered by Kaiser, we would do our own probabilistic
12 surveillance in those areas?

13 PANEL MEMBER SUÁREZ: Yeah. Well, to the extent
14 possible, because that is complex in itself, because there
15 are --

16 DR. WU: Right.

17 PANEL MEMBER SUÁREZ: -- going to be a lot of
18 areas. And even if you are trying to recruit a small
19 number of participants in some of the outlying areas of
20 the state, that's still going to require a good amount of
21 effort to do that. But I would say kind of reduce the
22 scope of the focused area surveillance and maybe target
23 certain areas in which you're trying to include
24 participants of certain characteristics that would be
25 underrepresented.

1 DR. WU: Um-hmm. Thank you.

2 CHAIRPERSON SCHWARZMAN: Jenny.

3 PANEL MEMBER QUINTANA: Hi. Can you hear me?

4 CHAIRPERSON SCHWARZMAN: (Nods head.)

5 PANEL MEMBER QUINTANA: Can you hear me?

6 DR. WU: Um-hmm.

7 CHAIRPERSON SCHWARZMAN: Yes, we can.

8 PANEL MEMBER QUINTANA: Okay. Great. Sorry, I'm
9 having some audio problems here.

10 I guess of your options, I like the focused area
11 surveillance the best, because given that we don't have a
12 sudden influx of new resources, which, of course, would
13 open up more possibilities. But I feel like it's better
14 to do a focused area well using quota sampling, if
15 necessary, to be reflective of the area than to try and do
16 the whole state, which is, you know, bigger than many
17 countries. So I guess for what it's worth, I feel like
18 the focused area sampling, we have a chance at least to
19 get a good snapshot of that focused area. And I think
20 it's probably, given the limitations we've seen of the
21 CARE studies, like the beginning of CARE San Diego, where
22 we skew educated, skew white, skew older, I'm not sure
23 that would be any worse than data that's not
24 representative for other reasons. So I guess I would vote
25 for the focused surveillance, perhaps using Kaiser or not.

1 And then again just continuing to explore
2 existing samples. You've listed some there. I know
3 there's probably others, but again to get away from
4 extremely high cost of doing your own epidemiology, and
5 your own sampling, and your own transport of the samples,
6 and et cetera.

7 Thank you.

8 DR. WU: I think something you said made me think
9 about another point I wanted to make, which is that, you
10 know, in this fairly lean time of the Program, I also want
11 to think about types of surveillance that might be
12 modular, that we could start small and build onto. So,
13 for example, if we start with one focused area, if the
14 Program does grow eventually, then we can add on to that
15 and maybe have several focused areas or, you know, to
16 start growing our area of coverage.

17 I would -- you know, CARE actually started that
18 way as well thinking that we'll start with one per year
19 and eventually we could grow to two or three regions per
20 year, which didn't happen, so -- for whatever reason, I'm
21 still optimistic that we will grow at some point, but I do
22 like the thought of being -- like thinking ahead to
23 these -- how this might fit into a series of building
24 blocks to a point where we have statewide coverage.

25 CHAIRPERSON SCHWARZMAN: Go ahead, Jennifer.

1 DR. MANN: Yeah. I don't know if you can hear
2 me. I am unmuted. Am I -- okay. So I just wanted --

3 CHAIRPERSON SCHWARZMAN: It's quiet.

4 DR. WU: Where is your mic.

5 DR. MANN: Same issue. Okay. So my comment is
6 that I really want to emphasize the importance of taking a
7 probability sample approach over quota sampling. The main
8 issue is that with quota sampling, we have no idea even if
9 the demographics we know about are reflective of the
10 underlying population, if everything is under -- is
11 reflective of the underlying population. So that's where
12 a probability sample is really important.

13 And at the National Biomonitoring meeting that
14 just took place, this is the approach that states are
15 taking now and that they're really encouraging. So I just
16 want to make a plea for doing probability sampling over
17 quota sampling.

18 CHAIRPERSON SCHWARZMAN: Jenny, go ahead.

19 PANEL MEMBER QUINTANA: Hi. I do acknowledge
20 that probability sampling is the best way to extrapolate
21 the data. One other point I forgot to add is that if we
22 can't -- if we need to narrow the scope -- to perhaps
23 narrow the scope in age, so that we're not trying to
24 interpret, you know, body burden of lots of different ages
25 at the same time. So perhaps focus on young adults, for

1 example, and try to -- try to limit our sampling of --
2 become a narrower age band in order to kind of increase
3 our capacity to make predictions, at least about that age
4 group, and look for trends, as an idea.

5 CHAIRPERSON SCHWARZMAN: I wanted to pick up on
6 an element that was in Nerissa's presentation, which was
7 about just in reflecting the input that we gave at the
8 November meeting, where several of us, I think, emphasized
9 the need for constructing studies, so that you can make
10 comparisons over time. And I know that I was one of those
11 people. And partly, it reflects my research where I'm
12 really trying to look at how things change over time. And
13 it's so difficult, because there are so few data sources
14 that collect data that's consistent enough, or the
15 populations are consistent, or the methodology is
16 consistent, the, you know, analytes measured are
17 consistent, that we can make any of those comparisons.

18 And I know there's lots of good uses of data that
19 are snapshots in time, but I think there's a real need for
20 the ability to make comparisons over time. You know, I
21 think I mentioned in our last meeting that the research
22 that I've been involved with for the last few years, we're
23 able to see the impact of -- for example, just looking in
24 the area of phthalate exposure, we're able to see some
25 phthalate concentrations decreasing in the population at

1 the same time as others are increasing. And it
2 corresponds to when some category was listed by Prop 65 --
3 you know, several phthalates were listed Prop 65, and then
4 other phthalates kind of came into use as substitutes.

5 Excuse me.

6 And we can't see that without, you know,
7 comparable data over time, and that's using NHANES data.
8 So that's data that's consistently collected over time.
9 And I totally get that there are -- that it's a resource
10 limitation issue right now. But I guess I just wanted to
11 raise that point again that if there are ways in doing
12 like what Nerissa is talking about of sort of a modular
13 program that could be scaled up, if there's a way to
14 really keep in the forefront the notion of collecting data
15 that will be comparable in the future, even as, you know,
16 you're not able to sample the whole state, and so there
17 will be areas that are not sampled that you have no way of
18 making comparisons with.

19 And some of that is just unavoidable, but keeping
20 the need for making time comparisons or the value of
21 making time comparisons in the forefront, as you design
22 the more limited versions of studies that could be
23 expanded with time and budget.

24 José.

25 PANEL MEMBER SUÁREZ: Yeah, I completely agree

1 with you, Megan, about being able to compare trends over
2 time. That's kind of one -- some of the more important
3 pieces that we're concerned about, right? So are these
4 exposures getting worse or getting better for the ones
5 that we're most concerned about?

6 Just kind of touching base -- touching back on
7 some of the core issues, right, which is the budget
8 ultimately. Have there -- have any of you done any
9 analyses about if you want to pursue going down the Kaiser
10 Permanente line, even though we still don't know what the
11 cost may be, how much -- how far can we stretch the budget
12 ultimately to -- with the current restrictions that there
13 are, if we were to do something focused or is it possible
14 for you to be able to do something more probability
15 sampling using Kaiser throughout the whole state. It's
16 really a consideration in the end of budget, right? So
17 you can still use Kaiser, but maybe there's not enough
18 budget to include a large range of people, and then we can
19 proceed saying something like Jenny was proposing, just
20 focus a little bit more within something that's still a
21 probability sampling within Kaiser, or something like
22 that. Have you gotten to do any of those budget
23 considerations of what could be feasibly done with the
24 budget?

25 DR. WU: The short answer is no. That's kind of

1 our next step as we dig one layer down to try to think
2 about feasibility. One thing I have thought about is --
3 one of the issues we struggle with in state is that our
4 funding is fairly inflexible, fiscal year bound, and there
5 are only certain ways you can spend it. So that is a
6 challenge with a group like Kaiser, where we have to have
7 contracts and it's a long-term process between deciding
8 you're going to do a study and having participants
9 actually on the line.

10 One of the advantages to something like Genetic
11 Disease is that it's flexible. You don't have actual
12 people on the hook that you're talking to. And so if we
13 suddenly got money, we could say, let's go buy samples.
14 With a study, once you get out in the field, you can't
15 stop. You need to know ahead of time that you're going to
16 have this funding and have no uncertainty about whether
17 you'll be able to follow through into collection of
18 samples and all that.

19 So I haven't done a comparison of how much each
20 of these cost and whether they're feasible yet. Like I
21 said, that's kind of our next steps. But I am thinking
22 about the kind of mechanism of how we get this stuff done.
23 And one of the things that strikes me is that the Kaiser
24 model might be much more difficult than a model where
25 we're just buying samples essentially from Genetic

1 Disease. So that's just another thing to consider.

2 PANEL MEMBER SUÁREZ: Well, just a -- just a
3 quick thought too. I mean, there's a lot of COVID
4 surveillance happening. Have you thought about finding
5 ways in which to link up to some of those screening
6 methods as to be able to do some of the same things, since
7 they're collecting samples?

8 DR. WU: That is a good point. Actually, Genetic
9 Disease is doing some COVID work, I believe, using their
10 samples -- kind of going the other way using their samples
11 with COVID surveillance. But, yes, that's a good thought
12 and something which we've actually started to reach out a
13 little bit within the Department and see what other kinds
14 of surveillance programs might be amenable to working with
15 us.

16 It is a little bit of a hard time to start asking
17 the research questions of COVID, because everyone is so
18 focused on just getting a handle on COVID. But as things
19 get under control a little better, I think that is a
20 worthwhile direction to explore.

21 CHAIRPERSON SCHWARZMAN: Veena. Sorry, I didn't
22 see your hand.

23 PANEL MEMBER SINGLA: Thank you. Building a bit
24 off of José's comment, I do think it would be really
25 useful to kind of see a comparison of what each of these

1 approaches can get the Program kind of within the confines
2 of the current budget. And from my perspective, each one
3 the proposed approaches has its -- has it's own pros and
4 cons, but they're -- it's worth sort of continuing down
5 the road to look at each of them and then kind of look at
6 that comparison of what's the -- what's the impact, you
7 know, that you can -- you can get within the current
8 budget.

9 And -- and what -- what might it look like to
10 have, you know, maybe a hybrid model, I think like a --
11 like what some other Panel members commented on. Like, if
12 you did Kaiser and some of -- some GDSP, or -- you know,
13 versus just one approach 100 percent.

14 So I think that that kind of comparison would be
15 very helpful.

16 DR. WU: I agree. I also -- I think it's a good
17 exercise for us to go to -- go through, just in case, I
18 mean, there are extramural sources, or if our budget does
19 expand, we do want to be ready and have some models in
20 place, and have thought through that question.

21 So we are continuing -- I mean, our intention is
22 to continue with these three types of studies and continue
23 to kind of cost them out in more of a general --
24 generalized way and continue kind of honing down the list,
25 but also get a little more specificity in this table that

1 I showed at the end, so that we really have a sense of
2 what is possible.

3 CHAIRPERSON SCHWARZMAN: Jenny.

4 PANEL MEMBER QUINTANA: Hi. I just wanted a
5 quick follow-up question. You said you started to explore
6 what other routine surveillance is going on. And I think
7 that would be very useful to perhaps have more
8 information, at least from my point of view, for like how
9 many blood samples are collected and tested for lead in
10 children, for example, in the state, STD sampling. I'm
11 just thinking out loud of different sampling and
12 surveillance that's done. It might be kind of interesting
13 to see the whole suite of surveillance and kind of look at
14 that as a whole, and if it's possible to partner. And I
15 thought about blood lead specifically, because, you know,
16 it's collected in a fairly contaminant-free tube compared
17 to some other -- some other blood tubes.

18 Thank you.

19 CHAIRPERSON SCHWARZMAN: Any other thoughts or
20 input you want to provide?

21 Yes, Oliver.

22 PANEL MEMBER FIEHN: Yeah. I was encouraged by
23 the comments that I've heard about the dried blood spots,
24 it was less negative than maybe we thought. So I would
25 encourage the Program to look more into it, based on those

1 comments. Maybe do a small trial or at least review the
2 literature, talk to experts. That might be good.

3 DR. WU: Noted. Thanks.

4 CHAIRPERSON SCHWARZMAN: Kathleen, did you have
5 something to add?

6 DR. ATTFIELD: I just wanted to add the comment
7 that while we're talking about surveillance at this moment
8 in time, that, of course, this doesn't encompass the
9 entirety of what we're learning from biomonitoring in
10 California. So I really take Jenny's point and working
11 with other programs, of course, that are already also
12 collecting some biomonitoring types of data, but also our
13 lab collaborations, and the studies that they work with,
14 and how that reveals information about patterns in
15 California. So, you know, that also will get folded into
16 our surveillance work in understanding what is happening
17 in California.

18 CHAIRPERSON SCHWARZMAN: José.

19 PANEL MEMBER SUÁREZ: I don't know if this is the
20 right moment to ask or not, although it's kind of related
21 to the funding piece. Is it now possible for more direct
22 collaborations - I'm really talking about subcontracts
23 ultimately between researchers and the Program - to be
24 able just to fund some of the personnel time and just the
25 cost of keeping the labs running, given that, you know,

1 there's a lot of research happening and a lot of
2 investigators may be interested in running and measuring a
3 lot of the different compounds in some of their own
4 studies? Is that a possibility at this point?

5 DR. WU: Yeah. I know Jianwen is listening and
6 hopefully June-Soo as well and can chime in on this. Both
7 labs are engaged in collaborations with external
8 researchers. And they are asked often to provide services
9 analyzing samples. And that can be anywhere from like
10 really a broad collaboration where we are more involved
11 with the actual sampling of interpretation or a lab fee
12 for service. So both labs I think are quite involved with
13 that.

14 It is -- I mean, there are, I think, limits to
15 how much that can support the Program. One of the
16 challenges is that, you know, some of the analytes that
17 researchers are looking for we cannot always guarantee a
18 timeline turnaround and whether we'll have staff or
19 equipment in place to do those analyses. I'm thinking of
20 the phthalate methodology. We don't have an analyst now
21 and the machine is being used for something else. The lab
22 suffers also from a lot of underfunding. And, I'm sorry,
23 I sound like a broken record, because I always say this,
24 but they don't have the ability to have things like
25 preventative maintenance and duplication of instruments,

1 so if one goes down another one can be used. And we don't
2 have -- we don't have cross-training, so you have multiple
3 staff who can run a particular analysis.

4 So it's not a private lab. That's not how we
5 run. And so it can be difficult for us to make those
6 long-term commitments that are needed and it's turnaround
7 time that's needed for grant-funded research. But we
8 do -- the labs do quite a bit of that when they can.

9 CHAIRPERSON SCHWARZMAN: We have time for just
10 one more question, comment, piece of input for the Program
11 before we move on to the topic of QACs for the rest of the
12 day. So if you have any final thoughts about surveillance
13 testing and things that you'd like the Department to think
14 about, now is the moment, excepting, of course, that you
15 can always send ideas to the Program and they like
16 receiving those.

17 DR. WU: We do.

18 CHAIRPERSON SCHWARZMAN: Okay. If there's no
19 more input from the panel, Nerissa, I want to thank you
20 for this update and thank you to the Program also for
21 running with, you know, the notions, including
22 incorporating some of the ideas that we discussed at the
23 November meeting. And it's really nice to see the
24 continued evolution of the Program's thinking on this. So
25 thank you for that and we'll move on to the next part of

1 the program.

2 DR. WU: All right. Thanks, everyone.

3 CHAIRPERSON SCHWARZMAN: I want to introduce
4 Shoba Iyer. She's the staff toxicologist -- or a staff
5 toxicologist in the Safer Alternatives Assessment and
6 Biomonitoring Section at OEHHA. And she's going to
7 introduce our main topic for today, which is the
8 consideration of the class of quaternary ammonium
9 compounds, QACs, as potential priority chemicals.

10 So I'll turn it over to you, Shoba.

11 (Thereupon a slide presentation.)

12 DR. IYER: Okay. Great. Let's see, can
13 you confirm for me that you can see my presentation in
14 full-screen mode?

15 DR. MARDER: Yes.

16 CHAIRPERSON SCHWARZMAN: Yes.

17 DR. IYER: Okay. Great. Thank you very much.
18 Good morning, everyone. So in my presentation this
19 morning, I'll provide an introduction on quaternary
20 ammonium compounds being considered today by the SGP as
21 potential priority chemicals. This is the first of
22 multiple agenda items in today's meeting on this class of
23 compounds.

24 Later in the afternoon, I'll deliver a
25 presentation highlighting some of the content that is

1 covered in more detail in OEHHA's potential priority
2 chemical document.

3 --o0o--

4 DR. IYER: Here are the past SGP actions on
5 quaternary ammonium compounds, or QACs. In March of 2019,
6 the Panel requested a preliminary screening of this class.
7 In July of 2019, the Panel reviewed OEHHA's preliminary
8 screening and recommended that we prepare a potential
9 designated chemical document on QACs. In early March
10 2020, the Panel considered QACs as potential designated
11 chemicals and recommended that the class of QACs be added
12 to the list of designated chemicals. And at that same
13 meeting, the Panel requested that OEHHA prepare a
14 potential priority chemical document on QACs.

15 We've provided a PDF of this document to the
16 Panel members and we posted a PDF of it on the
17 Biomonitoring California website on the page for today's
18 meeting. OEHHA's previous two documents on QACs are also
19 posted on this meeting page.

20 --o0o--

21 DR. IYER: The SGP can recommend priority
22 chemicals for biomonitoring in California from the list of
23 designated chemicals. The criteria for recommending
24 priority chemicals are the degree of potential exposure,
25 the likelihood of a chemical being a carcinogen or

1 toxicant, the limits of laboratory detection, and other
2 criteria that the Panel may agree to.

3 Note, that these criteria are not joined by the
4 term "and", and the Panel is not required to specify other
5 criteria.

6 --o0o--

7 DR. IYER: The general chemical structure of QACs
8 includes the cation NR_4^+ . These compounds contain a
9 nitrogen atom with four covalent bonds. The R groups are
10 often, but not always an alkyl chain or benzyl ring.

11 These are the chemical structures of three QAC
12 subclasses: benzylalkyldimethyl ammonium compounds, or
13 BACs; dialkyldimethyl ammonium compounds, or DADMACs; and
14 alkyltrimethyl ammonium compounds, or ATMACs. And here
15 are examples of QACs in each subclass:
16 Benzylhexadecyldimethyl ammonium chloride is an example of
17 a BAC; didecyldimethyl ammonium chloride is an example of
18 a DADMAC; and hexadecyltrimethyl ammonium chloride is an
19 example of an ATMAC. The alkyl chain length for these
20 compounds is typically between eight and 22 carbons long.

21 --o0o--

22 DR. IYER: Now, here are the chemicals structures
23 of selected QACs that do not belong to any of the three
24 subclasses I just reviewed. There are a number of
25 polymers with quaternary ammonium centers, called

1 polyquaternium compounds. Shown here is an example
2 polyquaternium 42. Esterquats are another subclass of
3 QACs in which the alkyl chains contain ester linkages.
4 Cetylpyridinium chloride is an example of a QAC containing
5 a pyridinium ring. And the herbicides diquat dibromide
6 and paraquat dichloride or other types of QACs.

7 --o0o--

8 DR. IYER: QACs are used in a variety of
9 applications, including as antimicrobials, preservatives,
10 antistatic agents, softening agents, surfactants, and
11 corrosion inhibitors. The class we're discussing today
12 includes all types of QACs.

13 --o0o--

14 DR. IYER: I'm showing you here a picture collage
15 of a variety of products and applications that QACs are
16 used in. I talked about this topic more extensively in my
17 preliminary screening presentation to the Panel at the SGP
18 meeting in July of 2019.

19 QACs are used in some cleaning products, like
20 disinfecting surface wipes and sprays. They're used in
21 some antibacterial hand soaps, hair conditioners, other
22 personal care products, like hair care items, facial
23 cleanser, and body wash, lotions and mouth wash.

24 They're used in some cosmetics. They're used in
25 fabric conditioners or fabric softeners. They're used in

1 some eye drops, topical antiseptics, and oral antiseptics,
2 some clothing or textiles, and shoes. They're used in
3 some swimming pool algaecides, and used in oil and gas
4 operations, which includes hydraulic fracturing.

5 --o0o--

6 DR. IYER: After our guest speakers'
7 presentations and my afternoon presentation, the Panel
8 will have an opportunity to deliberate on QACs as
9 potential priority chemicals.

10 At that time, the options for the Panel will be
11 to: recommend the class quaternary ammonium compounds, or
12 QACs, be added to the list of priority chemicals; defer
13 consideration of QACs; or decide against adding QACs as
14 priority chemicals.

15 --o0o--

16 DR. IYER: This concludes my introductory
17 presentation. I'm happy to take questions at this time.

18 CHAIRPERSON SCHWARZMAN: So we have time now for
19 clarifying questions before our next presentation. And
20 they can come from either the Panel or from the audience.

21 Panel members, you can just raise your hand and
22 audience members will alert the organizers who will alert
23 me. Let's start with Tom.

24 PANEL MEMBER MCKONE: Sorry. I had to find the
25 unmute button. So you were showing all these different

1 types. And, I mean, you showed slides with the main
2 compounds we considered, and then you showed alternatives,
3 which are related, and then you also discussed several
4 uses. I just want to get a little clarity about when we
5 talk about the class of quaternary ammonium compounds, how
6 wide of a net will we be covering or is that something we
7 have to decide? If we're just going to say anything that
8 someone would classify as a QAC is in the class or is it
9 only going to be like the ones on the first slide that are
10 truly, you -- know, have the central nitrogen structure
11 and the common variations used primarily in disinfection
12 products?

13 DR. IYER: We're considering the whole class of
14 QACs. This is what the Panel voted to recommend adding to
15 the designated list and that's the class that we're
16 considering as potential priority -- or discussing as
17 potential priority chemicals today.

18 So I will point you to the preliminary screening
19 document OEHHA produced in 2019 and the potential
20 designated chemicals document OEHHA produced last year for
21 some of the chemical structures and descriptions that
22 describe the variety of the class.

23 CHAIRPERSON SCHWARZMAN: Eunha.

24 PANEL MEMBER HOH: So the QACs that are we
25 continuously finding new sources or do -- at this point,

1 do we know the -- pretty much know what are -- the main
2 sources are?

3 DR. IYER: My sense is that we're continuing to
4 find new sources. And I say this because one of the new
5 pieces of information that's been released in the last
6 year is information that the Government of Canada has
7 produced. They were doing a collection of information on
8 what QACs are used in their commerce. And the list -- the
9 information they have now shared includes 800 QACs. And
10 so for your reference when I was initially looking up, you
11 know, how many QACs can I find in this class, I located
12 about a hundred, so there -- they've received information
13 on many more. So I would say that we're still learning a
14 lot.

15 CHAIRPERSON SCHWARZMAN: Oliver, did you have
16 your hand up? Yes.

17 PANEL MEMBER FIEHN: So you said, you know,
18 because all QACs are -- all QACs are concerned, and QACs
19 are characterized by having tertiary ammonium cations, how
20 do you like restrict it to things that are industrial used
21 and industrial produced and not endogenous compounds like
22 choline, carnitine, and so on, which are obviously, well,
23 compounds that we have in our diet?

24 DR. IYER: Yeah. I think -- I think that gets
25 into more of a laboratory method discussion. And I don't

1 know enough to know what would be captured in a single lab
2 method. I'm not sure if there -- there's another input
3 you want to add in, Sara.

4 MS. HOOVER: Yeah. I was just going to chime in
5 and say, yeah. Basically, Shoba, I was going to say a
6 similar thing. So the idea, Oliver, is we typically --
7 nowadays, as you may recall, we tend to look at entire
8 classes. Now, remember, there's no obligation that we
9 monitor everything in a class. It's just the opportunity
10 to choose whichever member of the class we wish to
11 biomonitor. So any decisions about what's actually
12 measured would obviously take those issues into account.

13 PANEL MEMBER FIEHN: Okay.

14 MS. HOOVER: Now, I will add that one of the
15 topics that came up, when you all voted for the entire
16 class to be listed as designated chemicals, was the option
17 to potentially restrict it to a narrower set of chemicals.
18 Ultimately, you decided against that. The Panel voted
19 unanimously to include the entire class and asked us to
20 bring back the entire class, but I just wanted to remind
21 you of that discussion.

22 CHAIRPERSON SCHWARZMAN: Thanks Sara. Elizabeth,
23 are there questions from the audience?

24 DR. MARDER: There are no questions and no raised
25 hands at this time.

1 CHAIRPERSON SCHWARZMAN: Okay. Other clarifying
2 questions from the Panel?

3 I guess one thing that I -- that was a little bit
4 on my mind that's not quite a clarifying question, but
5 just to raise from -- based off of what Sara just
6 mentioned is one of the things that I think the Program
7 has been responding to in moving toward naming --
8 designating chemical classes is the notion of sort of
9 serial substitution, and also the variety of uses, and
10 that certain categories -- certain subgroups of a class of
11 chemicals are used in some applications and subgroups are
12 used in other applications, and that that -- in the past,
13 as we've deliberated, designations around potentially
14 large and vary inclusive classes like PFAS, that has been
15 what's kind of raised to the fore is that it -- rather
16 than endorsing that the Department -- or that the Program
17 should monitor for so many members of a large class, that
18 it gives them the flexibility to select the most relevant
19 chemicals to monitor, potentially as industrial uses shift
20 or as new applications are encountered, and that kind of
21 thing. So just sort of to pick up on what Sara said, that
22 was something that was on my mind.

23 Any other questions for Shoba before we move on
24 to the next presentation?

25 Oliver.

1 PANEL MEMBER FIEHN: Well, you know, not knowing
2 what the next presentations will tell us, do we have
3 reasons to -- or other newer reports that focus on one or
4 the other class of quaternary ammonium compounds with
5 respect to accumulation, toxicity, and so on concerns? Is
6 there any thing that we -- that we will hear -- I mean, I
7 don't know what we will hear, right? But, you know,
8 something that may be is known to the Program but not yet
9 detailed or so? If you understand what I'm trying to say
10 here.

11 DR. IYER: You know, I think I'll point you to
12 the potential priority and potential designated chemical
13 documents we put together. And I think I'll ask you to
14 sit tight, and, you know, we'll learn some information and
15 have more opportunity for a discussion on, I think, this
16 point later today.

17 PANEL MEMBER FIEHN: Okay.

18 CHAIRPERSON SCHWARZMAN: Thanks, Shoba.

19 Elizabeth, if there's any other questions from
20 the audience we should tend to, and if not, we'll move on
21 to our next presentation.

22 DR. MARDER: There are still not, at this time.

23 CHAIRPERSON SCHWARZMAN: Okay. Thanks so much
24 for that. Thank you, Shoba.

25 Let's move on to our next presentation. I want

1 to introduce Bill Arnold who is a professor at the
2 University of Minnesota in the Department of Civil,
3 Environmental, and Geo-Engineering. His research focuses
4 on the fate of organic chemical pollutants, including
5 industrial chemicals, pharmaceuticals, and pesticides in
6 natural and engineered aquatic systems. And he'll present
7 on environmental detection and degradation processes of
8 QACs.

9 (Thereupon a slide presentation.)

10 DR. ARNOLD: Thank you, Megan. I seem to have
11 trouble sharing my screen at the moment. I have the
12 little button.

13 DR. MARDER: We saw it.

14 DR. ARNOLD: Oh, wait.

15 CHAIRPERSON SCHWARZMAN: That's good.

16 DR. ARNOLD: Okay. Got it. It was sharing. I
17 didn't notice it.

18 So thank you. I'm Bill Arnold. I'm at the
19 University of Minnesota in the Department of Civil,
20 Environmental, and Geo-Engineering. It is winter, so we
21 have some snow on the ground unlike this funny picture
22 here. And I'm going to apologize up front. I'm going to
23 have to -- I have another commitment after the lunch
24 break, so I won't return for those discussions. So if you
25 have any questions about my talk, be sure to ask them when

1 I'm done presenting here.

2 --o0o--

3 DR. ARNOLD: So the work I'm gong to present was
4 not done by me. It was done by two of the researchers in
5 my lab: my post-doc -- former post-doc, Sarah Pati, who
6 did all of the environmental detection work and method
7 development there; my former PhD student Priya Hora, who
8 did all of the experiments looking at the fate and
9 degradation of the compounds under environmental
10 conditions. Annika Heaps was an undergraduate in our lab.
11 And all of our mass spectrometry work was done in the
12 University of Minnesota Masonic Cancer Center facility.

13 And the funding for this work came from the
14 Minnesota Environmental and Natural Resources Trust Fund,
15 which is how State lottery dollars are spent and invested
16 in the State of Minnesota.

17 --o0o--

18 DR. ARNOLD: So as Shoba explained, there are a
19 large number of quaternary ammonium compounds. And she
20 talked about the benzalkonium compounds, the
21 dialkyldimethyl ammonium compounds, and the alkyltrimethyl
22 ammonium compounds, which are in various consumer products
23 and other materials.

24 There are also another set of compounds that we
25 were interested in looking at and these are the ionic

1 liquids. And you'll see these have somewhat different
2 structures in the quaternary ammonium groups. Sometimes
3 this compound is in the ring. And these molecules have
4 been proposed as green solvents as alternatives to
5 volatile organic solvents like toluene, or hexane, or the
6 like for use in chemical synthesis.

7 There are also a wide variety of other compounds.
8 So domiphen is another disinfectant. This pyridinium
9 compound with the C16 chain on it is actually in various
10 mouthwashes. And our work with the QACs started in 2017
11 and 2018. And we were interested in finding out what was
12 present in wastewater and in the environment, and for some
13 of the reasons I'll describe later.

14 But then when the pandemic hit, we realized that
15 a lot of the compounds in the list N approved
16 disinfectants from the U.S. EPA for looking at
17 coronaviruses or for disinfecting coronaviruses contained
18 quaternary ammonium compounds. This little pie chart
19 shows that over half of the disinfectants recommended
20 contain either benzalkonium chloride, or dialkyldimethyl
21 ammonium chlorides, or a combination thereof. So usage
22 during the pandemic has almost certainly increased.

23 --o0o--

24 DR. ARNOLD: So there are various routes of these
25 compounds to the environment, so the ionic liquids are

1 more likely to come from industrial settings if they're
2 being used as these green solvents. Of course, it could
3 also be used as cleaning agents in commercial buildings
4 and the like as well.

5 And then antiseptics and disinfectants might be
6 more household type of usage. In our work, we did not
7 look at the potential sources coming from agriculture. So
8 the diquat and paraquat herbicides. Although there may be
9 some of these compounds used as surfactants or dispersants
10 in agricultural settings. Once we get into the
11 environment, there are various processes that can occur.
12 These compounds are hydrolytically stable and they're
13 non-volatile, so those properties aren't going to degrade
14 quaternary ammonium compounds. But there was a previous
15 review that suggested that removal by sorption to the
16 sediments, biodegradation, and photolysis or reaction
17 mediated by sunlight would be the major degradation
18 processes in the environment.

19 And so we wanted to not only look at samples from
20 potential inputs into the environment, specifically
21 wastewater effluents and then where they might wind up in
22 the environment, which is the sediments, but also look at
23 these degradation products to get an idea of the
24 persistence of the QACs in aquatic systems.

25 --o0o--

1 DR. ARNOLD: So just to give a quick overview of
2 our workflow. We either took sediment samples or
3 collected wastewater samples, and I'll give you the
4 locations where we got those later. We do some extracts
5 the QACs out of that matrix. And then we use a
6 high-resolution liquid-chromatography mass spectrometry
7 system where the chromatography separates all the analytes
8 and the mass spectrometry allows us to detect individual
9 analytes that we have standards for and even some that we
10 don't.

11 --o0o--

12 DR. ARNOLD: So for the wastewater effluents, we
13 collected about a liter of water. And these were
14 composited usually over a 24-hour period from the
15 wastewater treatment plant. And we needed about 250 ml of
16 this -- or milliliters of this and we would add some
17 surrogates in there with isotopic labels, so we'd be able
18 to evaluate our extraction efficiencies through the
19 process.

20 We would extract this with a specific cartridge
21 that does weak cation exchange to take molecules that have
22 a positive charge on them. They stick to the matrix. We
23 would then elute that with a formic acid acetonitrile
24 mixture, evaporate off all that solvent, and re-dissolve
25 it in acetonitrile and some internal standards to assess

1 the performance of the chromatography.

2 --o0o--

3 DR. ARNOLD: So our method is a little unusual,
4 in the fact that it is a normal phase chromatography
5 method, meaning that more hydrophobic and larger molecules
6 come out first and smaller molecules come out later in the
7 analytical run. And this -- we did this, so we could see
8 those ionic liquids, which are much more hydrophilic and
9 much smaller and wouldn't be retained in a reverse phase
10 chromatography scenario where the hydrophobic compounds
11 are retained much longer.

12 We're actually trying to develop a reverse phase
13 method as well, based on actually some of Amina's work
14 that she'll talk about later, because it turns out that
15 there are some advantages to having both operating. But
16 essentially what this means is that when we inject our
17 compounds into the system, the initial solvent going
18 through the chromatograph is the organic solvent, so it's
19 mostly acetonitrile. And over time, we take the gradient
20 and make it more and more hydrophilic and add in water
21 into the system with an ammonium acetate buffer and formic
22 acid.

23 And then as the compounds are eluted off, they're
24 detected by the mass spectrometer. And because we're
25 using a high resolution mass spectrometer, it look at a

1 wide range of masses with very high resolution to allow us
2 to detect a whole bunch of different compounds.

3 --o0o--

4 DR. ARNOLD: So overall, the workflow is some --
5 what I've described here is this extraction and then
6 analysis, but the data on the back end is non-trivial. So
7 if we're doing target screening, we have standards of the
8 compounds we're interested in, and we calibrate the
9 instrument to look for those. And so we can look at
10 relative recoveries. We can subtract for blanks, because
11 we know what those compounds are. And blanks you do have
12 to subtract for, because these compounds are everywhere.
13 And then we can get the actual concentrations in field
14 samples.

15 The other thing we're able to do, because we're
16 using this high resolution mass spectrometer, is something
17 cause suspect screening, where we can look for masses that
18 are indicative of compounds that we don't have standards
19 for. So let's say we have a benzalkonium chloride where
20 we don't have the standard for a specific carbon chain
21 length, but we know the fragmentation and parent ion mass.
22 We can tell the mass spectrometer to look for those ions.
23 And if we find those and the retention time makes sense,
24 we get something called a suspect.

25 And we do some calibration and blank subtraction.

1 If they are based on what we see in our blanks, we use the
2 software to look for potential compounds. And then based
3 on those suspect candidates and the calibration curves for
4 other compounds that were in our target screening, we can
5 get an estimated concentration of what's in these samples.

6 --o0o--

7 DR. ARNOLD: So just to give you an overview of
8 what we found in the wastewater effluents, these are the
9 DADMACs. So the limit of quantification for these is
10 rel -- is on the higher end of our spectrum for our
11 compounds, so between 50 and 130 nanograms per liter,
12 depending on which compound we're looking at. The
13 recoveries by the extraction method are relatively low.
14 They tend to be about 15 to 20 percent. So -- but we did
15 see between 200 and 1,500 nanograms per liter and most of
16 these compounds were above the limit of quantification.

17 For the benzalkonium chloride, our limits of
18 detection are much lower, 2 to 14 nanograms per liter.
19 The recoveries were better than for the DADMACs. And the
20 concentrations were, you know, somewhat lower than the
21 tens to hundreds of nanograms per liter with a couple of
22 them reaching into the micrograms per liter level. And
23 again, in basically, two-thirds -- three-quarters to 90
24 percent of the samples that we saw the benzalkonium
25 chlorides.

1 And then the ATMACs, again, we have lower
2 detection limits here, but much lower levels of detection
3 overall, only in the tens of nanograms per liter in the
4 wastewater effluents.

5 Now, one other compound that we found, because we
6 were screening for all these ionic liquids was this
7 specific one here. And note this is not the C16
8 pyridinium compound that's used in mouthwashes. This is a
9 C4 pyridinium compound. And the ionic liquids can be
10 isomers of the benzalkonium chlorides. So we were very
11 careful to make sure that this is the pyridinium and it's
12 not a small benzalkonium chloride.

13 We saw this in a large number of samples. And if
14 you have an idea of where this coming -- is coming from, I
15 would love to know it, because we have not been able to
16 figure it out yet.

17 --o0o--

18 DR. ARNOLD: So just to give you an overall view
19 of the compounds. We see lower -- the blue squares here
20 are recovery, so lower recoveries for the DADMACs, and
21 higher recoveries for some of the ATMACs and the
22 benzalkonium chlorides. And we did see domiphen as well
23 and benzethonium, which are other quaternary ammonium
24 compounds used in disinfectants. And, you know, between
25 20 and 90 percent of the time that we see values above the

1 limit of quantification or analytical method. And if we
2 look at the concentrations on the right-hand graph, we can
3 see the DADMACs had the highest mean concentration
4 followed by the BACs and then this pyridinium compound.
5 And most of the rest of them were less than 50 nanograms
6 per liter in the effluents.

7 --o0o--

8 DR. ARNOLD: So we know they're getting out into
9 the environment from the effluent. The question now is
10 how much is depositing in the sediments. So here we take
11 a -- we don't need much sediment at all, about 250
12 milligrams, and we extract it in an acidified methanol,
13 and then take that extract and dilute it into water, and
14 then basically treat it just like one of the water
15 samples. It goes through the same cartridge. It goes
16 through the same extraction and the same solvent exchange,
17 before we put it onto the mass spectrometer.

18 --o0o--

19 DR. ARNOLD: Now, here we did most of our work in
20 Minnesota, I'll show first, and then I'll show some data
21 from California. In Minnesota, we did our work with
22 sediment cores. And we collected these cores for a
23 previous project looking at antibiotics. And this is
24 three different sulfa drugs that were found in one of the
25 sediment cores in Minnesota. So sulfamethoxazole and

1 sulfapyridine are used by people, and sulfamethazine I
2 believe is used by animals.

3 And because the sulfa drugs were invented in
4 about the 1930s, we don't see any of the compounds prior
5 to 1930 or very -- well, there's some contamination from
6 this analytical process and the sample collection. But
7 over time, as the usage of antibiotics increases, we can
8 see an increasing usage in the sediment cores. And we
9 wanted to see if these same patterns would occur for the
10 quaternary ammonium compounds, because they also came into
11 use in the 1930-ish time range.

12 --o0o--

13 DR. ARNOLD: And so this is Lake Pepin, which is
14 South of the Minneapolis-Saint Paul area, southeast of the
15 Twin Cities. And it's watershed encompasses about
16 two-thirds of the state of Minnesota. And so it's a great
17 place to get an integrated look at what's happening across
18 the state as particles move into the lake and are
19 deposited in sediment.

20 You'll notice the sediment cores go back. They
21 can be dated using lead-210 back to the 1880s. And we see
22 concentrations basically nothing until about 1930 when
23 QACs were brought into commerce. And then we see
24 concentrations rise. And interestingly enough we see a
25 very large peak occurring about 1970 and then a decrease

1 over time. And then we do see, especially in the
2 benzalkonium chlorides here, kind of constant levels
3 post-1990ish.

4 So this confused us for a little while, because
5 this did not match kind of what we expected in terms of
6 increasing usage over time of the compounds. And whenever
7 this happens, you tell your students go back and extract
8 everything and do it again. And Sarah did it all again
9 and got the same answer.

10 So we asked the sediment core experts and it
11 turns out that if you look at metals in these lakes, they
12 shows a very similar trend. And so our hypothesis here is
13 that what we're seeing is the effect of the Clean Water
14 Act and the upgrade of wastewater treatment plants, to
15 secondary treatment, and industrial source controls led to
16 lower amounts of QACs making it out of wastewater
17 treatment plants. And then what we're seeing now,
18 post-1990, is more of the steady state for slight
19 increases in use over time.

20 --o0o--

21 DR. ARNOLD: This is a place where we did suspect
22 screening. So if I can go back real quickly, you'll
23 notice we have C12, C14, and C18 benzalkonium chloride
24 here. This peak here matched the C16 BAC, which we did
25 not have a standard for. And so we extract out the ions

1 in this 91 that's in the little red box here is really
2 indicative of benzalkonium chloride. It's the fragment of
3 the molecule.

4 --o0o--

5 DR. ARNOLD: And we can add suspect screening
6 compounds, including the C8 and C10 DADMACs, which are
7 used in lots of household disinfectants on the List N from
8 the EPA, as well as the C16 BAC. And note that the
9 pattern -- there's some uncertainty obviously in the
10 concentration, but the pattern matches pretty much with
11 the other BACs.

12 One thing I forgot to note here is this x-axis is
13 post -- is an accumulation rate, so it's nanograms
14 deposited per centimeter square per year. The
15 concentrations of these sediments were tens to hun -- to
16 about a thousand nanograms per gram, depending on the
17 compound.

18 --o0o--

19 DR. ARNOLD: So we also worked with the San
20 Francisco Estuary Institute to take some samples. So when
21 they were doing one of their sediment cruises, they took a
22 suite of samples from across the San Francisco Bay Area
23 for us to take a look at. And the lower South Bay, of
24 course, is more heavily populated and has a lot of
25 wastewater input, so we expected to see higher

1 concentrations in the more southern portion versus kind of
2 the more river-flushed areas in the north. And these were
3 processed just like our sediment cores, except these were
4 just grab samples at specific locations.

5 --o0o--

6 DR. ARNOLD: And like we saw in the sediment core
7 in Minnesota, we did see roughly north to south, as you go
8 from left to right. It's not perfect. But we do see the
9 DADMACs and the benz -- the benzalkonium chlorides or the
10 BACs throughout the Bay. Here, we're not able to do the
11 accumulation rates of nanograms per centimeter squared per
12 year, because we don't have the right kind of data about
13 the waterbody.

14 But the concentrations, you know, ten to a
15 hundred or so nanograms per gram. So they are entering
16 San Francisco Bay.

17 --o0o--

18 DR. ARNOLD: We also had a sediment core that we
19 collected previously in San Francisco. This is from the
20 central Bay Area. The dates here are approximate, because
21 the St. Croix Watershed experiment station that we use
22 here in Minnesota to do the dating of the core had trouble
23 with the particular core. And so the -- this is their
24 best guess to kind of the dates.

25 But overall, the trends largely make sense.

1 There are lower levels deeper in the core, where we think
2 were back in the 1930s, and higher levels of the BACs as
3 we move into more modern times into the '70s and the '90s.
4 It's hard to assess whether or not there's any particular
5 pattern, like we saw in Minnesota in terms of the effect
6 of the Clean Water Act, but that might be much harder to
7 pick up in a system that has tidal influences and various
8 other processes occurring unlike Lake Pepin, which is
9 really just impacted by humans.

10 --o0o--

11 DR. ARNOLD: So the summary of our field work
12 here is we detect QACs in wastewater effluents up to the
13 microgram per liter range for a few of the compounds. The
14 DADMACs and the BACs are most frequent and at the highest
15 levels. And we also see these compounds in the sediments.
16 And so we know they're released in the environment.
17 They're sticking to particles. They're depositing into
18 the water sediments. We want to know now what's happening
19 in between and what processes might occur in the water
20 column.

21 --o0o--

22 DR. ARNOLD: So let's go back to our diagram
23 here. And the processes we're going to investigate -- or
24 we did investigate are photochemistry and biodegradation.

25 --o0o--

1 DR. ARNOLD: So for those of you who aren't
2 familiar with photolysis, this the process by which light
3 breaks down chemicals, so the compound has two pathways by
4 which it can react. The first one is known as direct
5 photolysis. And this is where the pollutant itself
6 absorbs sunlight. So it's absorbance spectrum has to
7 overlap with that of sunlight that hits the surface of the
8 earth.

9 The other one is indirect photolysis. And this
10 is where something else in the water column absorbs the
11 light. So iron, or nitrates, or dissolved organic matter.
12 And those compounds get photo-excited and generate a whole
13 bunch of other excited species, so hydroxyl radicals,
14 singlet oxygen, triple excited organic matter, superoxide,
15 hydrogen peroxide.

16 And this process is natural and it's very
17 important in terms of carbon, and elemental cycling in
18 surface waters, but also it's important for control on
19 pollutant levels in the environment as well.

20 --o0o--

21 DR. ARNOLD: So for these experiments, we want to
22 measure rate constants of the reactions with these
23 specific reactive species in light form. So this is a
24 plot that shows essentially the rate of loss of the
25 quaternary ammonium compounds versus the loss of a probe

1 compound for hydroxyl radicals, which is
2 para-chlorobenzoic acid. And by comparing the rates of
3 loss of the two compounds and knowing the rate of loss of
4 the para-chlorobenzoic acid, we can get the rate constants
5 of the QACs with hydroxyl radical in this case, which is
6 generated by a combination of UV light and hydrogen
7 peroxide in the laboratory setting.

8 So these reactions turn out to be essentially
9 diffusion control with hydroxyl radicals for the ten to
10 the tenth per molar per second, which you would initially
11 think is great. These reactions occur very quickly,
12 except that the hydroxyl radical concentrations in the
13 environment are very low. So something on the order of 10
14 to the minus 17 moles per second.

15 And so like in this first order rate constant of
16 approximately ten to the minus seven per second, and I'll
17 convert that into other units the next slide for you.

18 So we did a whole suite of experiments to look
19 for degradation processes. These compounds don't -- with
20 a couple of exceptions, benzethonium being one, don't
21 absorb sunlight, and so they don't react by direct
22 photolysis. And we didn't see any reaction with hydrox --
23 or sorry, with singlet oxygen or triplet excited state
24 organic matter. Really showing the hydroxyl radical is
25 the only kind of photoreactive, photogenerated species

1 that was leading to degradation of the QACs in surface
2 waters.

3 --o0o--

4 DR. ARNOLD: And we proved this by looking at the
5 degradation of some actual river water that we collected.
6 And I'm just going to go through the upper right-hand plot
7 here quickly and the sunlight alone is the red dia -- the
8 red squares. And that's just in distilled water. You see
9 very slow loss over time over nine days or so.

10 The blue circles labeled MRW are Mississippi
11 River water. So these are QACs we dosed into the
12 Mississippi River water.

13 And the green triangles are the same Mississippi
14 River water, but dosed with isopropyl alcohol. And the
15 isopropyl alcohol quenches the hydroxyl radicals. And so
16 the fact that we don't see any reaction when that's there
17 really shows us the hydroxyl radicals are driving this
18 photochemical loss.

19 So if we take the combination of the
20 concentration of hydroxyl radical in surface waters and
21 the rate constant, the near-surface rate constant for
22 photolysis is about -- the half-life is about three weeks,
23 meaning that half the compound will be gone after three
24 weeks, if it transmits downstream or is within this
25 waterbody, not accounting for any other sort of loss

1 processes.

2 And, of course, that's just the near surface and
3 as light penetration is greatly impeded as you go to
4 depth, you integrate this over the whole depth of the
5 waterbody, this half-life is going to become much longer.

6 --o0o--

7 DR. ARNOLD: We also did biodegradation studies
8 in river water. And here we took filtered river water
9 just to get out the large particles, but we didn't
10 filter-sterilize it. And we dosed in environmentally
11 relevant concentrations, so about a hundred -- ten to a
12 hundred nanograms per liter of the C12 versions of the
13 BAC, the DADMACs, and the ATMACs. And then we just kind
14 of let them stir exposed to oxygen and monitored the QAC
15 concentrations over time using LC-MS/MS or the liquid
16 chromatography mass spectrometry system. And we respiked
17 these compounds three or four times over about the
18 two-month period.

19 And we showed that degradation occurs over about
20 three to seven days. And with each time we dosed the
21 compounds, we got faster degradation suggesting there was
22 some adaptation in the microbial community to the QACs.
23 Now, of course, we were isolating these QACs -- sorry,
24 these bacteria from the environment. There were no other
25 inputs of food over time from the emission of river water.

1 So the QACs might have been seen as more
2 desirable food over time, because we were putting them
3 into the system and all the other carbon was being
4 consumed. And we also did molecular analysis on these
5 reactors. And we saw taxonomic shifts over time in the
6 microbial community showing decreased richness and
7 evenness, so a smaller number of bacteria were becoming
8 dominant over time, but we also did not see any evidence
9 for antibiotic resistance changes in these microcosms,
10 which would be expected, because were well below any kind
11 of therapeutic or toxic dose.

12 --o0o--

13 DR. ARNOLD: One other piece we looked at, as
14 part of this study, was the formation of nitrosamines from
15 quaternary ammonium compounds. This is because we were
16 concerned that if the QACs got into the river water and
17 then back into the drinking water treatment plant and were
18 exposed to disinfectants, they might make nitrosamines,
19 which I know are of great concern in California in terms
20 of water reuse.

21 And so we did this under something called uniform
22 formation conditions, where they're exposed to chloramines
23 for a certain period of time and there's a residual
24 chlorine presence throughout.

25 We did this with analytical standards. We also

1 purified the analytical standards by an extra step by
2 putting them through the solid phase extraction cartridges
3 we use for extracting the environmental samples. And this
4 is because we were concerned that there might be some
5 tertiary or secondary ammonium compounds where we didn't
6 have the quaternary compound with a permanent charge.

7 And those secondary and tertiary ammonium
8 compounds shouldn't be retained by the solid phase
9 extraction cartridge. And so those are known to be better
10 NDMA precursors.

11 The -- we also used some commercial products and
12 purified commercial products as well, to see if there was
13 a difference between our analytical standards. And the
14 total nitrosamine analysis looked for all nitrosamines,
15 not just the dimethyl N-nitrosamine that's of greatest
16 concern in Bill Mitch's lab at Stanford.

17 And the yields were relatively low. They're, you
18 know, 0.003 to 0.03 percent on a mass basis, which
19 suggests there is some potential for nitrosamine formation
20 from these compounds, but they're likely less important
21 than many of the other known precursors of nitrosamines.

22 --o0o--

23 DR. ARNOLD: So in the last couple of minutes
24 here, I'll just talk about where we're going next with all
25 of this. We are -- we've been archiving QAC samples in

1 waste water influents and effluents for about eight months
2 now to see what usage has been like during the pandemic.
3 And, of course, with the pandemic and lab personnel
4 turnover and everything, we had to archive these. And we
5 are just now getting ready to start processing the couple
6 hundred samples we have stored in the refrigerator.

7 And we are doing this both in Minnesota as well
8 in the San Francisco Bay Area. We'll be looking at some
9 stormwater samples for the San Francisco Estuary
10 Institute. And another piece we'd like to do is some
11 better spatial sampling and modeling. So actually putting
12 in at a wastewater treatment plant, taking samples
13 upstream near the effluent and then floating along and
14 taking samples over time to see how quickly the QACs
15 dissipate along a stretch of river.

16 Some potential issues we're interested in are
17 effects on wastewater treatment operations. I've had
18 discussions with some consultants where they've been
19 concerned that issues they've been having in their
20 activated sludge process might be affected by QACs. And
21 there's actually a product called Quat Block you can buy
22 to remove QACs from your wastewater to prevent this from
23 happening. And also potential effects on anaerobic
24 digestion, especially during elevated usage during the
25 pandemic.

1 There are other questions we'd like to explore
2 further. We wrote a little mini-review about QACs in the
3 COVID era. And there's good data on acute aquatic
4 toxicology, but much less data about chronic effects for
5 aquatic toxicology. And our back-of-the-envelope
6 calculation says that this would be a potential concern,
7 where as like acute aquatic effects almost certainly are
8 not.

9 There are some resistance issues to explore, and
10 this is not my area of expertise. But this little diagram
11 here is from Michael Gillings in Australia, who has shown
12 that class 1 integrons, which is something that move or
13 mobile genetic elements. They have a QAC resistance gene
14 associated with them. And the fact that there is QAC
15 resistance in the environment is not particularly
16 surprising, because we've been using these compounds for
17 almost a century at this point.

18 And again, I think there needs to be more work,
19 given the broad number of structures about nitrosamine --
20 the potential for nitrosamine formation.

21 And one other issue we're interested in exploring
22 as well is improved treatments. Because if we now know
23 that these compounds are removed by settling to particles,
24 and by sunlight, and by aerobic biodegradation processes,
25 something like a constructed wetland, if you have the land

1 space, might give more time for these compound to be
2 degraded before they're discharged into receiving waters
3 from wastewater treatment plants.

4 --o0o--

5 DR. ARNOLD: And with that, I will let things go
6 over to questions.

7 CHAIRPERSON SCHWARZMAN: Great. Thank you so
8 much for that, Bill. We have 15 minutes now for questions
9 from both the panelists and the audience. Panelists can
10 go ahead and just raise your hand if you have a question.
11 And we'll start with Tom and I'll check in with Elizabeth
12 in a few minutes about audience questions.

13 PANEL MEMBER MCKONE: Okay. Thank you, Bill.
14 That was a really interesting presentation. I think it
15 gives us a lot of insight, especially about questions to
16 ask.

17 I'm curious about whether you or someone else has
18 used the kind of information that you're gathering about
19 deposition, and transformation degradation to make some
20 fake calculations of things such as overall persistence,
21 or the range of transport -- you know, long-range
22 transport potential, and, you know, if you're aware of
23 that or if there's some ideas about who might do that.

24 DR. ARNOLD: We have not done that. That is on
25 our agenda of things to do. We want to get a little more

1 field data to see if we can match a model to the field
2 experiments. And we've just started -- we have a project
3 pending with the State Of Minnesota to do this kind of
4 work for a broad range of antimicrobial chemicals, so not
5 just QACs, but also antibiotics.

6 PANEL MEMBER MCKONE: Right.

7 DR. ARNOLD: And so we've recruited a modeler to
8 help with that. So I see that forthcoming, but we haven't
9 done that yet.

10 PANEL MEMBER MCKONE: Could I follow up? I mean,
11 it's something we'd be interested in. And I just want to
12 suggest maybe that's, as I recall, we're dealing with, you
13 know, hundreds of compounds. But often there's different
14 kinds of screens toxicity but also persistence or fate,
15 and it would help, I guess, ultimately be nice to sort
16 these out by which ones are likely to persist along as in
17 an aquatic system or in the environment overall.

18 DR. ARNOLD: Yeah. And that's going to be the --
19 I think, the big driving factor on that is going to be the
20 chain length of that carbon chain, right? So the longer
21 that carbon chain, the more likely they are to be in the
22 sediments. I think the rate constants for photolysis are
23 all going to be very similar. And some of those short
24 carbon chain compounds, which may not be high-use at this
25 point, and have different sources are likely to transport

1 much further.

2 PANEL MEMBER MCKONE: Okay. Thank you.

3 CHAIRPERSON SCHWARZMAN: Eunha, please.

4 PANEL MEMBER HOH: That's very interesting work.
5 I really enjoyed it. It's something that I was thinking
6 like, man, these compounds are really reminding me of my
7 work in nicotine. So much similar in terms of analysis,
8 all the HILIC column, all this kind of stuff. And then
9 even oxidation and photolysis making nitrosamines as well.
10 So it's very -- different compounds but some kind of
11 behaving possibly similar.

12 And I was thinking about multiple things. The --
13 what about the -- this compound, this QACs, like depending
14 on pH, you know, how sensitive they are, you know, to
15 their behaviors, depending on the pH. That's my first
16 question.

17 And the second question is how much we know about
18 the nitrosamines? You know, that I mean you showed us
19 some work -- your work, and then is there any other
20 studies that are finding that these compounds are
21 transformed nitrosamines and the more air or surface, you
22 know, non-aquatic environments.

23 DR. ARNOLD: Yeah. Both good questions, Eunha.
24 The pH effects my guess are going to be -- it's going to
25 be relatively minor, just because these are already

1 permanently positively charged, and most of them don't
2 have another spot on them that's got any sort of acidic or
3 basic functional group on them.

4 And so pH might affect sorption -- it certainly
5 comes with reaction, or biodegradation. pH I think is
6 going to be relatively unimportant, because it's not going
7 to affect their uptake or exposure. In terms of sorption,
8 there might be some effect depending on the if it affects
9 the surface charge of what they're sorbing to. If
10 the what they're absorbing to is pretty organic, I don't
11 think it's going to matter. But if it's a mineral surface
12 or something like that, you might see some kind of pH
13 effect. And, you know, there are some challenges with
14 these compounds. We don't filter our sample through glass
15 fiber filters, for example, because the QACs just stick to
16 the glass fiber filters.

17 In terms of nitrosamines, the real expert on that
18 is Bill Mitch at Stanford. And I know he's looked at a
19 large number of consumer products, both secondary,
20 tertiary, and quaternary ammonium compounds, and their
21 nitrosamine formation potential. And then one of his
22 former post-docs is one of my former students, Tung Zung,
23 who's now at Syracuse, and he's also done a fair amount of
24 work with that as well.

25 CHAIRPERSON SCHWARZMAN: Other questions from the

1 Panelists at this moment?

2 Elizabeth, do we have anyone in the audience who
3 wants to ask a question or make a comment?

4 DR. MARDER: We do. We have received a question
5 via the GoToWebinar questions. And I can read that to
6 you, if you'd like, and we also have an audience member
7 with a hand raised. So I defer to you, Megan, as which
8 way you'd like to go.

9 CHAIRPERSON SCHWARZMAN: Why don't you start by
10 reading the question and we'll give Bill a chance to
11 respond to it and then we'll go to the person with the
12 hand raised.

13 DR. MARDER: Okay. Bill, I believe this is
14 referring to something you presented on slide number 3.
15 This is a question from David Jones. For the EPA list
16 disinfectant pie graph, was the segment size based on
17 number of registrations or on relative amounts of each
18 product sold, like relative pounds or gallons.

19 DR. ARNOLD: Yeah, it's based on registrations.
20 And so I just took the spreadsheet the EPA has and divided
21 them up by which active ingredients they had. So I don't
22 actually know how much is sold. Although, there is some
23 trade information and it's in our little mini-review. And
24 I think it was something like in the first three months of
25 2020, like more QACs were sold than all of 2019, or

1 something like that. So, I mean, there was massive
2 increase in the amount used. But, yes, it could be that a
3 lot more bleach or some other product is being used than
4 the QACs, but I'm not sure.

5 CHAIRPERSON SCHWARZMAN: José, I see your hand.
6 We had said we would unmute the participant who wanted to
7 ask a question and then I'll go to you next.

8 DR. MARDER: Okay. The -- so June-Soo Park with
9 DTSC, I've unmuted you. You'll need to unmute yourself.

10 DR. PARK: Hello. This is June-Soo from DTSC.
11 Nice meeting you all. I have one question. First of all,
12 thanks for sharing your interesting works in the
13 presentations.

14 Based on your data, I'm particularly pointing out
15 the -- your depth profile for several Q -- QACs over time.
16 They didn't quite show anymore more increase after 1980.
17 She -- year contrasting to the uses. You mentioned the
18 possible reason for that was the Clean Water Act enacted.
19 I remember the resorting in having improved the wastewater
20 treatment system.

21 So you -- at the last, your future work, you
22 mentioned you want to measure QACs before and after the
23 pandemic. That will be super interesting to see the
24 result. But on the other hand, you know, the -- based on
25 your comment, we may not be seeing any dramatic innovation

1 due to the pandemic, if what you said was true. So -- but
2 in contrast, we have seen some innovation changes in
3 indoor environment and the human exposure due to the
4 pandemic. What are -- what are your thoughts? You know,
5 what are you expecting when you measure QACs in your
6 sediment sample? Doesn't matter it's in Minnesota --
7 lakes in Minnesota or San Francisco Bay Area, you know,
8 the -- I'd like to hear your thoughts what kind of trend
9 will you be expecting before and after the pandemic.

10 Thank you.

11 DR. ARNOLD: Okay.

12 DR. PARK: It was nice meeting you.

13 DR. ARNOLD: Nice meeting you too. There's lots
14 to unpack there. In terms of the indoor exposure and
15 things like that, I'm going to leave that to the speakers
16 after lunch, because they're going to talk about that.
17 And then I realizing that I should have shown one more
18 slide from Minnesota, which I don't have in this
19 presentation. And so Lake Pepin is interesting because a
20 lot of the wastewater that winds up there, it captures
21 everything that's municipal, but as well as everything
22 that's industrial, right? And so the QACs used in all
23 sorts of industrial cleaning And various other processes
24 as well, not just surface cleaning for disinfection.

25 We did another lake. It was called -- it's

1 called Lake Winona. And it only gets effluent from a
2 wastewater treatment plant from a small town. And that
3 lake, we saw basically continuous increase over time. So
4 where it was purely municipal input, we saw increasing
5 loads. And, you know, it's wastewater treatment plant
6 came online about the same time, and -- but -- so there's
7 multiple factors going there.

8 And in terms of the pandemic, I expect that we'll
9 see differences in the influents and effluents that we're
10 measuring in terms of wastewater. You know, factors of
11 two to ten potentially, depending on how good we are
12 analytically.

13 But that also depends on if the activated sludge
14 process how well it adapted to the new levels of compounds
15 that are in there and how efficient the sorption was. So
16 it may be we see higher influent levels, but similar
17 effluent levels, depending on how the wastewater treatment
18 plants perform.

19 And then the sediments, my guess we're -- it will
20 be very hard to see any sort of trends, just because
21 sediments accumulate over time, and, you know, those
22 slices often occupy, you know, anywhere from two, to five,
23 to ten years, depending on what the rate of deposition is
24 in the lake, and even spatially in a river or a -- in a
25 river there's so much movement of the sediment, we

1 probably won't see any affect very quickly on the
2 sediments. We'll have to wait ten years and look for that
3 peak when it deposits in the lake. But that will give me
4 the next proposal to write, so I move a little closer to
5 retirement.

6 CHAIRPERSON SCHWARZMAN: So I have José and then
7 Eunha.

8 PANEL MEMBER SUÁREZ: There we go. Thanks for
9 the presentation, Bill. Very interesting. Do you have a
10 sense of what the half-lives of these may be in the water?

11 DR. ARNOLD: You know, back-of-the-envelope, you
12 know, if we take the biodegradation, you know, half-life
13 to be about three or four days, and the photolysis one
14 probably to be almost irrelevant in that, you know, we're
15 talking days to weeks, depending on the light conditions
16 and the nutrient conditions all sorts of other factors in
17 the water. And that's the degradation processes occurring
18 in the water column. If you have a depositional zone
19 where they're sticking to particles, you know, you could
20 have really rapid removal. If your river flows into a
21 lake, my guess is you get a lot of it removed just because
22 all the suspended particles drop out in the lake and they
23 wind up in the sediment.

24 PANEL MEMBER SUÁREZ: So are they -- they're not
25 very stable under UV radiation, right?

1 DR. ARNOLD: No, they are -- they are stable
2 under UV radiation. Sorry, let me rephrase that. Under
3 sunlight radiation they're stable. We did not try hitting
4 them with like 254, like the UV that's used for
5 disinfection. But those doses are so small for DNA, you
6 usually don't get much contaminant transformation when
7 you're using UV for disinfection. Only when we had UV and
8 hydrogen peroxide to generate the hydroxyl radical did we
9 get degradation. So that would be more like an advanced
10 oxidation process, where you could get removal if you
11 wanted to have that as a tertiary treatment step.

12 PANEL MEMBER SUÁREZ: Okay. Thank you.

13 CHAIRPERSON SCHWARZMAN: Eunha.

14 PANEL MEMBER HOH: Bill, just -- it's kind of
15 curiosity, you know, some questions. You know, I really
16 liked your study that you also had targeted analytes and
17 then you had the suspect screening put together. You
18 might have already talked about it, but how many, like
19 what percentage of the analytes that you targeted in
20 advance and then you sort of like added more, you know,
21 later?

22 DR. ARNOLD: Yeah. Our initial target list I
23 think was 26 compounds.

24 PANEL MEMBER HOH: Um-hmm.

25 DR. ARNOLD: And then our suspect list was, I

1 think, another 25-ish or so, of which we saw about 12.

2 PANEL MEMBER HOH: I see.

3 DR. ARNOLD: And some of those we've now added to
4 our target list. So the C16 BAC, the C8, and the C10
5 DADMAC.

6 PANEL MEMBER HOH: Um-hmm.

7 DR. ARNOLD: And then there's a couple of
8 ethyl -- there's the benzalkonium chlorides with an ethyl
9 group on them -- on the ring.

10 PANEL MEMBER HOH: Um-hmm.

11 DR. ARNOLD: And those are used in a lot of
12 compounds. And so it turns out standards for those are
13 really hard to find. So we actually bought some products
14 and we're going to run the act -- you know, extract the
15 actual products, just so we can figure out where the peaks
16 are, so we can look for those.

17 PANEL MEMBER HOH: I see. Great.

18 CHAIRPERSON SCHWARZMAN: Tom, go ahead.

19 PANEL MEMBER MCKONE: Yeah. One more quick
20 question. I know we're going to break soon. So you've
21 been tracking movement in water systems, but is there --
22 are you aware or have you done any work about the relative
23 volatility? I mean, what's the air-water partition? Are
24 they going to be moving in air at all or are these
25 compounds, because of their ionization, essentially -- I

1 mean are they essentially waterborne transport, once
2 they're released. I mean, that's where they're released.
3 Is that where they go or do they volatilize at all?

4 DR. ARNOLD: I think it's in the environment. If
5 they're in the water, they're going to stay in the water.
6 Dust might be another ball of wax, right? So they do
7 attach to particles, so my hypothesis would be that most
8 air transport would be related to dust. So if you're
9 using them on surfaces outside or, I think, Amina is going
10 to talk about indoor surfaces that dust might be the air
11 transport, but not the chemical -- the free chemicals
12 themselves through air transport.

13 PANEL MEMBER MCKONE: Right. Yeah. So basically
14 the water-to-air transport would be very, very low.

15 DR. ARNOLD: Yeah, the permanent charge pretty
16 much prevents that.

17 PANEL MEMBER MCKONE: Okay. Great. Thank you.

18 CHAIRPERSON SCHWARZMAN: Great. We need to break
19 for lunch. Thank you so much, Bill, for your
20 contributions. And I want to -- we're going to break for
21 an hour for lunch. And before we do so, I want to
22 introduce Kristi Morioka, who is Senior Staff Counsel of
23 OEHHA, who will provide a reminder about the Bagley-Keene
24 requirements for before the lunch break. And as she gets
25 on, I just want to say that we have an hour for lunch and

1 everyone should please be back on the webinar no later
2 than 1:10, so that we can start right at 1:15 as planned.

3 SENIOR STAFF COUNSEL MORIOKA: Hi there. This is
4 just a reminder that this is a public meeting and so we'd
5 like you to refrain from conversing with each other during
6 the -- during the lunch break and discussing the items
7 that are on the -- on the agenda for today.

8 CHAIRPERSON SCHWARZMAN: Thank you very much and
9 we'll adjourn for lunch and see you back at 1:10.

10 (Off record: 12:16 p.m.)

11 (Thereupon a lunch break was taken.)

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1 AFTERNOON SESSION

2 (On record: 1:15 p.m.)

3 CHAIRPERSON SCHWARZMAN: So I have that it's 1:15
4 and we will start right in with our first presentation
5 following the lunch. Thank you all for coming back
6 promptly.

7 I want to introduce Amina Salamova, who is
8 currently an associate scientist in the O'Neill School of
9 Public and Environmental Affairs at Indiana University,
10 Bloomington. She holds a PhD in environmental science
11 from Indiana University and her research focuses on
12 understanding the effects of exposure to semi-volatile
13 organic compounds, a group of toxic pollutants, in
14 vulnerable populations and in the built environment. She
15 uses state of the art analytical chemistry techniques in
16 exposure assessment and biomonitoring. Amina will talk
17 about increased human exposure to QACs during the COVID-19
18 pandemic.

19 (Thereupon a slide presentation.)

20 DR. SALAMOVA: Thank you for that introduction.
21 Can you confirm you can hear and see my presentation?

22 MS. JARMUL: Yeah.

23 DR. SALAMOVA: Hello.

24 MS. JARMUL: We can hear you.

25 DR. SALAMOVA: Can you hear me?

1 I'm sorry?

2 MS. JARMUL: Yes, we can hear and see you.

3 DR. SALAMOVA: Okay. Great. Thank you.

4 So I'm Amina Salamova. I'm an associate
5 scientist at Indiana University. First of all, I'd like
6 to thank the organizers for inviting me to share my
7 research here in this meeting.

8 And today, I would like to speak about increased
9 human exposure to QACs during the COVID-19 pandemic. And
10 really our interest in this -- in this class of chemicals
11 was because of the pandemic. We -- we've learned a lot,
12 I'm sure as many of you, about disinfection our indoor
13 space, both our homes and the public spaces to keep us
14 safe.

15 --o0o--

16 DR. SALAMOVA: And, in fact, the U.S. EPA has a
17 list, which is called List N, that has more than 400
18 different products listed as effective for the novel
19 Coronavirus. And half of these products have QACs listed
20 as ingred -- active ingredients.

21 So as it already was mentioned in this meeting,
22 this was a large group of chemicals, probably including
23 hundreds of different compounds that are used in many
24 different applications. And in addition to being used in
25 disinfection and cleaning products, they are used in

1 biocides, personal care products, medical, pharmaceutical
2 products, and even in textiles.

3 --o0o--

4 DR. SALAMOVA: So for the purposes of this talk,
5 I will focus on three major QAC groups that have already
6 been mentioned before, so benzylalkyldimethyl ammonium
7 compounds or BACs, dialkyldimethyl ammonium compounds or
8 DDACs - they're also called DADMACs, but for -- we call
9 them DDACs - and alkyltrimethyl ammonium compounds or
10 ATMACs. And each group has several homologues depending
11 on the length of the alkyl chain and here I will focus on
12 C6 and C18 BACs, and C8 to C18 DDACs and ATMACs, so a
13 total of 19 QACs.

14 --o0o--

15 DR. SALAMOVA: So when we started looking into
16 this group of compounds, we have found out that they are
17 mostly detected in the outdoor environment. And some of
18 this work we've heard from Bill Arnold in the previous
19 talk, but they've been detected in wastewater, sludge,
20 surface water, sediments, and soils. Some toxicity data
21 exists on some of the BACs. Most of these are animal
22 studies. And exposure to QACs has been associated with
23 birth defects, destruction of lipid metabolism,
24 developmental toxicity.

25 And in some occupational studies, they've been

1 recognized as asthmagens, because they exacerbated asthma
2 symptoms. But we were really surprised to find out that
3 there is virtually no data on human exposure and human
4 exposure pathways, and health effects based on
5 epidemiological studies.

6 --o0o--

7 DR. SALAMOVA: So today, we'll talk about two
8 projects that we've done on the QAC exposures. And the
9 first project will focus on indoor exposure. So we were
10 interested sort of in general evaluation of assessment of
11 the indoor exposure to QACs, especially during the
12 pandemic, you know, considering the increased use of these
13 chemicals. So we have chosen to use dust as an exposure
14 assessment approach or two of the QAC exposures in the
15 indoor environment, because dust is relatively easy to
16 work with and to collect. And also, dust is a long-term
17 source and sink of many semi-volatile organic compounds.

18 So we wanted to look at the QAC exposures in the
19 indoor dust. But in addition to this, we also wanted to
20 see or evaluate the effect of the pandemic on the QAC
21 levels indoors and also evaluate the effects of using
22 disinfecting products and disinfection practices in homes
23 that we sampled.

24 So this work was published last year in ES&T
25 Letters. And I can share the paper with whoever is

1 interested to get more details on this work.

2 --o0o--

3 DR. SALAMOVA: So for the purposes of this study,
4 we've collected dust samples all here in Bloomington
5 Indiana. We were able to access dust samples collected
6 before the pandemic from a sample archive. These samples
7 were collected during 2018 to 2019. And we were also
8 interested -- we were also -- we also collected samples
9 during the pandemic with -- this was done in June 2020.
10 We were not able to get to people's homes because of
11 safety. And we asked people to give us dust from their
12 vacuum bags.

13 From the homes that were sampled during the
14 pandemic, we asked the residents give us information on
15 the common disinfection products they use, and also
16 disinfection practices, and disinfection frequency, basic
17 information on how dis -- how they disinfect their homes.
18 Based on this information, we identified seven commonly
19 used disinfecting products. This included both sprays and
20 wipes, and we analyzed them as well.

21 So along with this, just for purely exploratory
22 purposes, we also wanted to look at the levels of QACs in
23 air. This was done only in three samples. We were able
24 to collect only three samples, because air sampling is
25 much more difficult than dust sampling. It takes more

1 time. And even though it's a very small sample size, and
2 exploratory work, I still wanted to share some of our
3 results with you, because we think we have some
4 interesting results.

5 --o0o--

6 DR. SALAMOVA: So just briefly on the dust
7 analysis. We sieved the dust and we ultrasonicated it
8 with acetonitrile to extract the QACs. Our surrogate
9 recoveries were pretty good, showing that our method
10 efficiency was pretty good. We didn't have much of blank
11 issues. The blank levels were less than 0.1 percent --
12 constituted less than 0.1 percent of the sample levels.
13 And like I already mentioned, we analyzed for 19 QACs
14 using liquid chromatography tandem mass spectrometry and
15 you can find more details on the analysis in our paper I
16 mentioned before.

17 --o0o--

18 DR. SALAMOVA: So moving on to the results. You
19 can see here the levels for the 19 QACs we've targeted
20 shown as box plots. And this is the data for the two
21 sample groups, the pre-pandemic and during pandemic
22 samples kind of pooled together. The concentrations here
23 shown as box plots. The boxes represent 25ths and 75ths
24 percentiles, the whiskers represents 10ths and 90ths
25 percentiles, and the black line inside the box represents

1 the median.

2 The Y axis shown as a log -- on a box scale and
3 the X axis shows the number of carbons in the alkyl chain
4 for each QAC shown here. So, first of all, I would like
5 to mention that almost all QACs we've targeted were
6 detected in each and every sample we've analyzed. So our
7 minimum detection frequency was 95 percent.

8 So to our surprise, the exposure to QACs was
9 quite widespread in the homes we sampled. The
10 concentrations were pretty high. You can see the
11 concentrations are on the microgram per gram level. These
12 are considered high concentrations for the indoor
13 environment.

14 And, you know, when we compared the levels with
15 other most well known and ubiquitously found compounds
16 like flame retardants, both brominated flame retardants
17 and organophosphate flame retardants, the QAC levels were
18 several times higher. They reached up to several hundred
19 micrograms per gram in these samples.

20 When we look at the distributional QACs here, we
21 can see that the most abundant QACs found in the samples
22 were BA -- C12 and C14 BACs, C8 and C10 DDACs, and C16
23 ATMAC. And overall, these five compounds contributed
24 about 80 percent to the total QAC concentrations. And
25 total QAC concentrations here are defined as the sum of

1 all 19 QACs.

2 We know that some of these compounds are high
3 production volume chemicals in the United States. So we
4 think that this can probably explain some of our findings
5 here.

6 --o0o--

7 DR. SALAMOVA: So when we look at the two sample
8 groups, so -- separately, so samples collected during the
9 pandemic and before the pandemic, we can see that the
10 total QAC concentrations in samples collected during the
11 pandemic shown here, are significantly higher than in the
12 samples collected before the pandemic. And, in fact,
13 there is about 60 percent increase based on the median
14 total QAC concentrations. And when we've looked at some
15 of the individual QACs, this increase was about 90
16 percent.

17 --o0o--

18 DR. SALAMOVA: We wanted also to look into the
19 effect of disinfection practices in these homes. So based
20 on the survey information from the homes sampled during
21 the pandemic, we were able to identify different
22 disinfections routine in homes. So in homes that reported
23 increased disinfection routine since the outbreak of the
24 pandemic, the total QAC concentrations were significantly
25 higher than in homes that did not change their

1 disinfection practices, since the outbreak of the
2 pandemic.

3 In fact, the median for the homes that was --
4 that have reported increased disinfections since the
5 outbreak were about three times higher than in homes with
6 no change.

7 We also looked into the differences based on how
8 many times people disinfected their homes. So the homes
9 that disinfected more frequently, defined here as
10 disinfecting a few times a week, were -- the total QAC
11 concentrations were significantly higher than in homes
12 that disinfected less frequently, defined here as less
13 than once a week, or did not use chemical-containing
14 products, so they just used isopropyl alcohol. These
15 homes also had significantly lower levels.

16 --o0o--

17 DR. SALAMOVA: In fact, there was a strong linear
18 relationship between the frequency -- how many times
19 people disinfected their homes and the total QAC
20 concentrations.

21 --o0o--

22 DR. SALAMOVA: So now just briefly about the air
23 concentrations. Again, I would like to point out this is
24 exploratory work. We only have three samples here. We
25 use these type of passive air samplers, which is called

1 polyurethane foam samples, or PUF samplers. There is a
2 piece of foam sitting here underneath this dome. And the
3 sample is deployed in the house and stays there for about
4 four weeks and basically passively samples the air or
5 contaminants from the surrounding air.

6 So to our surprise, we were able to detect
7 QACs -- a range of QACs in all of three samples. And the
8 most abundant chemicals found in the samples was C12
9 ATMAC, shown here, followed by C12 to C16 BACs, and then
10 C14 ATMAC. So these five compounds were more frequently
11 detected. They were detected in a lot of -- in all of --
12 in all of our samples in quite high concentrations.
13 Especially for C12 ATMAC, the concentration reached up to
14 2,000 picogram per cubic meter.

15 And again, when we compare it with the more
16 widespread and well known indoor contaminants, like flame
17 retardants, for example, again, these levels are several
18 times higher than for those chemicals. And the mean total
19 QAC concentration was about 4,000 picogram per cubic
20 meter. So we were quite surprised by these findings,
21 because QACs are believed to be nonvolatile.

22 --o0o--

23 DR. SALAMOVA: But when we look at the
24 relationship between the log of the optimal air partition
25 coefficient and the relationship of these partition

1 coefficients with the ratio of all of the QACs that we
2 looked at in dust to the rate -- to the concentration in
3 air, because these air samples were paired with dust
4 samples, we see that there is almost like inverse U-shaped
5 relationship here. And these two ATMACs that we see in
6 our air samples fall pretty low on this curve, the --
7 their partition coefficients are quite low and the
8 dust-to-air ratios are pretty low as well.

9 So these two compounds are pretty volatile,
10 because they have a lower octanol air coefficient --
11 partition coefficients, and that's, they think, is the
12 reason why we see -- we see them in our air samples.

13 The BACs that we see kind of pull higher on the
14 curve. And we see -- we think that the reason why we see
15 them in our air samples is because the PUF samplers are
16 also able to capture very fine particles from the air --
17 from the indoor air.

18 So we think that that's the reason why we see
19 these BACs in the air samples. That's probably due to the
20 presence of the verifying particles in the foam.

21 --o0o--

22 DR. SALAMOVA: So if you remember, I also
23 mentioned that we've also analyzed disinfection products
24 used in the homes that were sampled during the pandemic.
25 And when we looked at this data, we realized that there is

1 three products that are pretty much exclusively used in
2 more than 80 percent of the homes. So here, I'm
3 showing -- I'm sorry. Here, I'm showing the distribution
4 of the three QAC groups that we looked at, their
5 contribution to the total QAC concentrations shown here as
6 a percentage. The -- this pattern in dust samples from
7 both 80 percent of the homes where these products are
8 used. And this is an average contribution for those three
9 exclusively used products in this 80 percent of the homes
10 and also in our three air products.

11 So when we look at this, when we compared the
12 dust pattern and the products pattern, we see that the
13 similarity is quite striking. So this suggests to us that
14 these products could be a source of the -- these QACs in
15 the indoor dust.

16 However, when we compare with the air, we can see
17 that the pattern here is quite different. ATMACs are the
18 major contributors to the air concentrations. And this
19 suggests to us that air can probably have different
20 sources of these compounds. We know that ATMACs are used
21 in some of the air fresheners, and in some of the
22 perfumes, and they're more volatile. So some of these
23 products can be a source of the ATMACs in the indoor air.

24 --o0o--

25 DR. SALAMOVA: So in the conclusion for this

1 project, our results show that the indoor exposure to QACs
2 is quite widespread. QACs can be found in the indoor air.
3 The QAC levels are significantly higher in dust collected
4 during the pandemic and also higher in homes with more
5 frequent disinfection. And we think that disinfection
6 products can be a significant source of the QACs in house
7 dust.

8 --o0o--

9 DR. SALAMOVA: So moving on to the second project
10 that I would like to talk about today, as an exposure
11 scientist, I am always interested in biomonitoring of
12 emerging contaminants. So finding this widespread
13 exposure to QACs in the indoor environment, I was
14 thinking, you know, if these chemicals are also found in
15 human blood. So in order to look into this, we collected
16 samples from the Indiana University Biobank. Again, we
17 were interested to look at general levels of QACs in human
18 blood. And we also wanted to see if there is effect from
19 the pandemic on the QAC levels in blood.

20 So we collected two samples of -- two groups of
21 samples similarly to dust. So blood collected before the
22 pandemic, this was serum collected during February to
23 August of 2019, total of 111 samples. And blood collected
24 during the pandemic, this was done in April to August
25 2020, again, 111 samples. And again, participant -- here,

1 participants were not paired. So that these two groups of
2 samples were not collected from the same people, because
3 human research subject -- human subjects research was
4 quite difficult to do during the pandemic, in terms of
5 collection of biological samples.

6 But the participants in these two groups were a
7 match based on age, gender, race, smoking status,
8 residence, and BMI.

9 --o0o--

10 DR. SALAMOVA: So again, briefly about the
11 analysis. We extracted the blood with acetonitrile. We
12 cleaned them up on a solid phase -- solid phase extraction
13 columns. Our recoveries were quite good. We did have in
14 this case some issues with our blanks. But again, in
15 general, the blanks level did not exceed 20 percent of our
16 sample levels. But nonetheless, we decided to be safe and
17 we blank-corrected all of our data by subtracting the
18 blank levels from the sample levels.

19 --o0o--

20 DR. SALAMOVA: So moving on to the results.
21 Here, I'm showing the data for the ten QACs that were
22 detected in more than 40 percent of the samples. Again,
23 the concentrations are shown as box plots here. When we
24 look this data, we see that the most abundant chemicals
25 here are C12 to C14 ATMAC - quite similar to the air

1 concentrations - and C12 and C14 BACs.

2 These four chemicals were found in about 80 to 90
3 percent of the blood samples, so quite high detection
4 frequency. The levels ranged from three to six nanograms
5 per ml.

6 --o0o--

7 DR. SALAMOVA: And when we look at the two groups
8 of samples collected before the pandemic and during the
9 pandemic, we can see here the trends similar to what we
10 see in dust. So there is a significant statistical
11 difference between the total BAC, total ATMAC, and total
12 QAC concentrations in samples between the two groups of
13 samples with the samples collected during the pandemic
14 being significantly higher. So if we compare the medians,
15 the medians in the samples collected before the pandemic -
16 this is for total QAC concentrations - is 3.5 versus 6.0
17 nanograms per ml. The increase of about 77 percent for
18 the total QACs. And when we looked at some individual
19 QACs, especially BACs, this increase was about 170 percent
20 for some of these chemicals.

21 --o0o--

22 DR. SALAMOVA: So again, we wanted to look at
23 sort of the distribution pattern of these two QAC groups
24 in different sample types. So here I'm comparing the
25 distribution in blood with the distribution in dust, and

1 indoor air from the previous study. And we see that
2 the -- this distribution is quite different between these
3 three samples types. So serum is pretty much equally
4 enriched with BACs and ATMACs. Dust is mostly enriched
5 with BACs, but there is also some DDACs here and some
6 ATMACs. And indoor air is pretty much enriched with
7 ATMACs, about 80 -- 70 to 80 percent ATMACs. So we think
8 that both dust and air probably can contribute to the
9 levels of QACs we see here.

10 There is different exposure pathways to blood --
11 of QACs to blood, and of course, this needs more work,
12 because we have quite limited sample size. But I thought
13 it was quite interesting to see the differences between
14 the distribution of the QAC groups in the samples.

15 --o0o--

16 DR. SALAMOVA: So our work has limitations, like
17 I already mentioned. Sorry.

18 We had a limited sample size and limited
19 geographic coverage for our samples. Our pre- and
20 post-pandemic dusts -- dust and blood samples were not
21 paired, because due to the challenges of the sample
22 collection during the pandemic. Also, in our
23 biomonitoring work, we didn't have urine samples. And we
24 know that some of these QACs can metabolize quite quickly
25 in the body. However, the metabolites at this point are

1 not known and we were not able to measure them or collect
2 urine samples for their measurements.

3 --o0o-- saw

4 DR. SALAMOVA: So with that, I would like to
5 acknowledge Guomao Zheng, my post-doc, who actually did
6 all the work, all the lab work and all the data work. So
7 really all credit goes to him, and my collaborator,
8 Gabriel Filippelli who helped with updating the samples
9 collected before the pandemic and the funding sources.

10 Thank you all for listening and I'm happy to take
11 any questions you may have.

12 CHAIRPERSON SCHWARZMAN: Thank you so much,
13 Amina. I really appreciate that presentation. It's a
14 concise summary of what looks like a lot of work.

15 We have time now, 15 minutes for questions from
16 both the Panel and the audience. And just as a reminder,
17 panel members with questions simply raise your hand and I
18 will spot you. And then we'll periodically sort of --
19 I'll check for questions from the audience.

20 So Carl, please.

21 PANEL MEMBER CRANOR: Yes. Thank you for the
22 very clear presentation. It does leave a question. And I
23 understand this might be -- might or might not be beyond
24 your pay grade. But I think one of the things that the
25 COVID circumstances have brought about is that -- excuse

1 me -- individuals who do the cleaning may do that hour
2 after hour, day after day. They may have much higher
3 concentrations than I gather you're picking up with
4 residues. I take it you're studying residues either
5 residues in the air, residues in the dust. But what about
6 the people that are gathering on the floor, or the
7 desktops, or the sprayers spraying this material. It
8 seems to me their concentrations might be much, much
9 higher. Do you have any insight into that?

10 DR. SALAMOVA: Well, I completely agree that I
11 think people who use these chemicals, sprayers, cleaners
12 and other people who may use them probably are exposed to
13 much higher levels. Our sampling was done in residential
14 in general population of Indiana, let's say, or
15 Bloomington. None of these people were occupationally
16 exposed to these chemicals, as far as we know.

17 So we don't have any data on occupational
18 exposure, but I think that's a very interesting angle
19 here. And I'm sure the levels in those people would be
20 much higher.

21 PANEL MEMBER CRANOR: So quick -- just a quick
22 follow-up then. You didn't look at commercial buildings
23 at all, I mean, where there might be multiple -- you know,
24 you think of -- they show us pictures of airports where
25 people are cleaning it up after every flight loads and

1 that sort of thing.

2 DR. SALAMOVA: Um-hmm.

3 PANEL MEMBER CRANOR: The exposures there surely
4 are much higher. They seem like they would be.

5 DR. SALAMOVA: Yes. We have not looked at any of
6 these type of buildings. So our -- again, we only sampled
7 in the residential homes, but I am sure -- for example,
8 one of the places where the levels would be quite high are
9 the hospitals, or schools, or day cares. I know that in
10 schools they disinfect very frequently, I've heard that
11 some schools disinfect at every break. They have kids
12 actually wipe their desks, and et cetera.

13 So, yeah, there are some environments where the
14 levels would be much higher, but we have not had the
15 chance to do sampling in those environments.

16 PANEL MEMBER CRANOR: Thank you.

17 DR. SALAMOVA: Um-hmm.

18 CHAIRPERSON SCHWARZMAN: So similarly, I'm kind
19 of -- I'm interested. I don't -- I don't think this, you
20 know, was covered by your work, but just to flag the use
21 of, I think you mentioned, you know, in air samples, the
22 use of sprayers. And I understand there's also foggers
23 that are used, which is a much finer particle that's
24 generated and tends to linger in air much longer and
25 potentially adhere to dust, and stay airborne, and

1 therefore respirable much longer.

2 Do you have anything to add about that? I know
3 there's been some work, even before the pandemic, because
4 it was used in -- it was required for sanitizing
5 ambulances between patient runs.

6 DR. SALAMOVA: Um-hmm.

7 CHAIRPERSON SCHWARZMAN: And there were
8 complaints from paramedics about acute health effects from
9 exposure to fogged spaces, and presumably from having to
10 do the fogging themselves. I wonder if you've just
11 encountered any of that science, even though it's outside
12 this -- the particular study that you did.

13 DR. SALAMOVA: We haven't -- we haven't had a
14 chance to work with any of those application types. So
15 the products that we've looked at were just sort of
16 consumer products commonly used in homes, just the
17 consumer sprays and wipes. I can see that the wipes had
18 higher concentrations than the sprays. But again, that's
19 different from what you're talking about. I think it's
20 also important to know what kind of -- what kind of QACs
21 or what kind of products are used in those foggers, you
22 know. We don't -- we don't know.

23 But I think the way they are dispersed could
24 create more lingering in the air and more -- maybe lead to
25 more inhalation exposure than the products that are used

1 indoors just using the consumer products.

2 CHAIRPERSON SCHWARZMAN: Thank you for that.

3 I also saw material about people's exposure in
4 hospitals, including people who did not use the products,
5 but because they linger in the indoor environment,
6 measurements at nurse's stations and in areas where a
7 janitor was working, but not spraying them, but maybe
8 mobilizing dust through sweeping and things like that.

9 DR. SALAMOVA: Um-hmm.

10 CHAIRPERSON SCHWARZMAN: But it all makes --
11 seeing your work makes that all make more sense.

12 I think Tom had a question followed by Veena.

13 PANEL MEMBER MCKONE: Yes. Thank you. Really
14 good presentation. So I'm curious if this work is
15 leading -- I know there's not a lot of information about
16 exposure pathways, but I'm wondering if there's enough
17 early information to start hypothesizing dominant exposure
18 pathways, inhalation, hand to mouth. I'm particularly
19 interested like when a compound has a high K(OA), it's
20 very -- it has a high preference for lipids, right.

21 So that would indicate that it's on surfaces.
22 And if you -- your hands have lipid, you know, oils and
23 things, it might be -- it might be retained on the skin
24 more easily than compounds that aren't very lipid soluble.

25 In addition, it seems to be in the particle phase

1 probably less in the vapor phase, but that also suggests
2 kind of the order of magnitude of the inhalation exposure,
3 because we can calculate the air particle or surface
4 particle partitioning. So again, this is -- I'm hoping
5 that either someone already has begun this or we could be
6 begin some, I wouldn't say, details or highly accurate
7 exposure modeling but at least basing or building sort of
8 the hypotheses that lead us to understand dominant
9 exposure pathways, so we can start thinking about
10 intervention.

11 DR. SALAMOVA: So there is some work. I know one
12 paper that has looked at some of the exposure modeling
13 looking at different exposure pathways, a paper from Li
14 Li, which I believe was published either late last year or
15 maybe earlier this year. And so in that paper, they have
16 looked -- they've looked in an exposure model to look at
17 different exposure pathways and they identified that
18 dermal exposure and exposure from surfaces could be an
19 important exposure pathway. They've looked at total of 22
20 QACs, I believe.

21 So I think the problem here is that there is so
22 many different QACs with different properties, right? So
23 we see this difference between the pattern in air and
24 pattern in dust. So dust is obviously more important for
25 less volatile QACs, like BACs. And we see now, although

1 up until now, there was this consensus that QACs are
2 non-volatile, but we see that some of them are volatile
3 and actually are found in indoor air, based on our
4 exposure data. So I think that's something that needs to
5 be more looked into.

6 We see -- I did not present this data here, but
7 we see a similar sort of pattern with outdoor air. With
8 outdoor air, we were able to collect vapor phase and
9 particle phase contaminants separately. And we see a
10 similar trend with BACs being more enriched in particle
11 phase and ATMAs being more enriched in the vapor phase.

12 So I believe that inhalation also is an important
13 exposure pathway for some of these QACs.

14 CHAIRPERSON SCHWARZMAN: Thank you.

15 Veena.

16 PANEL MEMBER SINGLA: Hi, Amina. It's really
17 good to see you.

18 DR. SALAMOVA: Hi, Veena.

19 PANEL MEMBER SINGLA: Thank you so much for this
20 great presentation and really important work. My question
21 was around, if you saw any differences in exposure levels
22 or patterns by age, or gender, or race and ethnicity
23 within your cohort or if there's been any investigation --
24 other investigations of those kinds of trends, because I
25 know for other chemicals, like flame retardants, young

1 children's greater contact with contaminated dust is
2 hypothesized to be a more significant exposure pathway.

3 And I also -- you know, for other chemicals in
4 the home, women are more often doing the cleaning and may
5 have higher exposure, so I wondered if you had any
6 thoughts on that?

7 DR. SALAMOVA: Yes, absolutely. That's a great
8 question. We have tried to look into this, but in our
9 data, we didn't see any differences. Our data was pretty
10 homogeneous in terms of age all of our -- you know, it was
11 all adults. We didn't have any children, because it was
12 collected -- the samples were collected from a biobank at
13 the hospital from surgery patients, so it was all adults.
14 We did not see any differences in terms of -- in terms of
15 between woman and man, or based on age, or any other --
16 any other characteristics, probably because we had a
17 smaller -- a smaller data set, so that was probably the
18 reason.

19 CHAIRPERSON SCHWARZMAN: Eunha.

20 PANEL MEMBER HOH: Hi, Amina.

21 DR. SALAMOVA: Hi.

22 PANEL MEMBER HOH: Hi. It's great work and it's
23 very impressive. Is -- I'm curious about this could be --
24 you know, you may not know, but I'm kind of wondering, you
25 know, we know that the chemicals are accumulating and

1 they're persistent, you know. So -- so I kind of wonder
2 if you have -- you were able to try to differentiate
3 something like, you know, when you have dust samples
4 before COVID, after COVID-19, you know, you see the
5 increased trend. But is there any possibility that these
6 chemicals could accumulate in the dust over time? So this
7 could indicate that longer use of these chemicals, this
8 dust could reflect that accumulation impact effect?

9 DR. SALAMOVA: So remember that the dust were not
10 paired. So they were not collected from the same homes
11 before and during the pandemic. So in terms of
12 accumulation or longer accumulation, that probably can't
13 be ruled out, but it's -- it's also a limitation in a way,
14 because we weren't able to compare the levels in the same
15 homes. So there could be some other confounding factors,
16 which contribute to the differences we see, but that's --
17 that's what we could do due to -- because of the
18 limitations of the pandemic, in terms of sample
19 collection.

20 CHAIRPERSON SCHWARZMAN: Elizabeth, I want to
21 check in about questions from the audience.

22 DR. MARDER: We currently do not have any nor do
23 we have any hands raised.

24 CHAIRPERSON SCHWARZMAN: Okay. Great. So we
25 have about four more minutes before moving on for any

1 other questions from the Panel?

2 José.

3 PANEL MEMBER SUÁREZ: Hi, Amina. Thanks for the
4 nice presentation. I just had a very basic question about
5 the timing of the air samples in this case. They
6 coincide -- I mean, by design, was it just randomly at any
7 time of the day or did they coincide with soon after
8 cleaning floors or whatever, how did you design that?

9 DR. SALAMOVA: So the air sampling and dust
10 sampling overlapped. So the dust was collected at some
11 point during the air sampling, but it wasn't linked to any
12 specific events, like cleaning or anything like that.

13 The nature of the air sampling, that's why I
14 mentioned that it's more complicated, that the sample
15 needs to stay out for at least three to four weeks for us
16 to be able to get good detection limits. And dust
17 collection is pretty quick, so there was a difference in
18 terms of sample collection time.

19 PANEL MEMBER SUÁREZ: Okay. So if I understand,
20 so it's roughly a three week to four week average of the
21 air sample that you're having there.

22 DR. SALAMOVA: Yeah. The air sampler stayed in
23 homes for four weeks.

24 PANEL MEMBER SUÁREZ: Okay. Wonderful. Thank
25 you.

1 DR. SALAMOVA: You're welcome.

2 CHAIRPERSON SCHWARZMAN: Any final quick
3 questions?

4 In that case, thank you so much, Amina, for your
5 presentation.

6 DR. SALAMOVA: Thank you.

7 CHAIRPERSON SCHWARZMAN: And we will move on to
8 our next presentation. And I want to introduce Libin Xu,
9 who's an associate professor at the University of
10 Washington, where he started his own lab in the Department
11 of Medicinal Chemistry. His research focuses on the role
12 of lipid metabolism and oxidation in human diseases and
13 the development of novel methodologies for the analysis of
14 lipids, metabolites, drug -- drugs, and drug metabolites
15 using mass spectrometry -- mass spectrometry techniques.
16 Libin will discuss analytical methods to measure QACs in
17 biomonitoring studies.

18 (Thereupon a slide presentation.)

19 DR. XU: Thanks, Megan, for the introduction. I
20 hope you guys can see well, because I cannot see everyone
21 else.

22 Only have the screen here.

23 CHAIRPERSON SCHWARZMAN: We can see you.

24 DR. XU: That's great.

25 So it's great to be able to visit Biomonitoring

1 California again. Last year, I was here. I remember that
2 was the last time I ever traveled by air. So I really
3 miss that.

4 But so today, I'm going to touch base on some of
5 the thing I talked about last time, including metabolism,
6 also some analytical methods. But I also want to talk
7 about some of the newer data that we generated of human
8 samples.

9 Let's get started.

10 --o0o--

11 DR. XU: So I think Bill and Amina has done a
12 pretty good introduction on the quaternary ammonium
13 compounds or QACs on their structures, and their usage as
14 disinfectants, pesticides, preservatives, and they're
15 regularly used in a variety settings. And nowadays,
16 because of COVID, the use has, you know, times -- probably
17 increased many times. And including the wipes and also
18 spray, we mentioned about the potential inhalation
19 exposure. Spray could be an important route, because it's
20 being used quite often, and other kind of medical
21 products, eye drops and also dairy products.

22 These are the structures, that Bill and Amina has
23 mentioned. I won't go through them all again, but I want
24 to point out that this talk we're going to focus on the
25 benzalkonium chlorides, which has benzyl, dimethyl, and

1 alkyl chain, different chain length and also
2 didecyldimethyl ammonium chloride, which has these dialkyl
3 chains and dimethyl groups.

4 --o0o--

5 DR. XU: So first of all, I want to introduce the
6 method we're using. We're using a targeted liquid
7 chromatography-mass spectrometry method, which is similar
8 I think with Amina's method, which was also a targeted
9 methods. It's a reverse phase column separation with a
10 solvent combination of buffer with formic acid and also
11 acetonitrile. The total run time for these pairing QAC
12 compounds are, we think, eight minutes.

13 And we have synthesized deuterated labeled
14 standards as internal standards, so we add these standards
15 before we process the sample that we'll account for on the
16 potential sample loss during the process and also allow
17 the quantitation to be very accurate. And these are the
18 mass transition that we used for the different compounds
19 which are specific to that particular compound.

20 And so this is something I mentioned last time,
21 like before, because there's no really human exposure
22 data, and so we kind of outsourced through BioIVT to
23 get -- obtain a hundred random human plasma samples. And
24 we did that kind of highly study we found that, you know,
25 for 25 to 47 percent have detectable level of QACs. And

1 for some individual who has pretty high level, we --
2 including you know about nine percent could have
3 micromolar concentration of these QACs.

4 But we do recognize the limitation of this study,
5 because the samples were not collect by us. And then it
6 could be -- there's a possibility for contamination during
7 their collection process. But I'm going to touch on some
8 newer data on collaboration with Terry Hrubec and on some
9 of the samples that, you know, collected by themselves and
10 also I think controlled very well. So -- but regardless,
11 we can see from the sample that the QACs are prevalent.
12 That has varied levels among individuals.

13 --o0o--

14 DR. XU: So our lab has done some, you know,
15 early study. Like I could -- it's recent, but nobody has
16 really looked at the metabolism by human enzymes. So we
17 look at the metabolism by human cytochrome P450s, the main
18 detoxifying enzyme in our liver. So here we use the
19 benzalkonium chlorides as examples.

20 --o0o--

21 DR. XU: And we have reported in the last year.
22 Basically, we have identified enzyme cytochrome P450 4F11,
23 4F2 that will make omega-hydroxylation products. And the
24 2D6, 4F12 will make omega minus one hydroxylation
25 products. And omega-hydroxylation products can be fully

1 converted into omega-carboxylic acid. And where the other
2 one, you know, omega minus one can be converted into
3 ketone, and both of these can be converted into this diol
4 dihydroxy products. And so we have made synthetic
5 standards for C10 BAC to confirm all of this diol
6 transformation pathway.

7 --o0o--

8 DR. XU: So this is some recent, you know,
9 mechanism. We understand that after they form the primary
10 carboxylic acid products, they can actually undergo beta
11 oxidation, like fatty acids, that would reduce two carbon
12 at a time to form a series of carboxylic acid products.

13 So this is important, because that relates to
14 some of the metabolites we have seen in human urine. We
15 can see it later.

16 --o0o--

17 DR. XU: So these are some of the chromatogram
18 that we use to monitor C10 BAC-derived metabolites. These
19 are untargeted methods. They hydroxy products, the
20 dihydroxy, the ketone, and the omega-carboxylic acid.

21 --o0o--

22 DR. XU: And I'm showing here is actually a mouse
23 study where it exposed the mouse by diet at this level 120
24 micrograms per gram per day of QACs containing BACs and
25 also DDAC. And following actually Terry Hrubec's

1 protocol, what we see is this is looking like -- looking
2 at kidney tissues we see on the compounds in there. And
3 we see -- I'm showing here metabolites of C14, but we also
4 see other metabolites too of C12 and C16 BAC.

5 Interestingly, we also see hydroxylation
6 metabolites of DDAC. Although, we haven't fully
7 characterized the products of the DDAC yet, but we think
8 the oxidation also occur on the long alkyl chain, which
9 we're in the process of characterizing those.

10 --o0o--

11 DR. XU: So in a separate study, we look at, you
12 know, again, the mouse fed on -- actually, in this case,
13 we use a deuterated labeled C13 BAC, because we find that
14 there may be some contamination from the environment that
15 could obscure our analysis. So with that with D7
16 deuterated labeled one that we make sure the only thing we
17 analyze or definitely coming from the diet that we fed the
18 mice.

19 So we see again -- you can see for C16, we see
20 the parent compound, also omega-hydroxy, omega-carboxylic
21 acid metabolites. Very interestingly is that these are in
22 feces by the way. And we also see a series of
23 beta-oxidation products from this omega-carboxylic acid.
24 The n equals five, that we could be equals to the alkyl
25 chain of 14, that would be 12, and then, ten and eight,

1 even six. So they are, you know, undergo, what we
2 thought, you know, of metabolism by cytochrome P450
3 followed by the beta-oxidation products. So this is an
4 indication that the QACs are absorbed and also they are
5 metabolized by liver, and then they are excreted back into
6 the intestine and then into the feces.

7 --o0o--

8 DR. XU: So this is just a summary of our, I
9 guess, very fairly conservative estimation of limit of
10 detection, which we can reach our limit of detection of
11 under 0.1 nanomolar and it convert to nanogram would be in
12 the -- from ten to -- up to 90 nanogram per liter.

13 --o0o--

14 DR. XU: So next, I'm going to talk about some
15 other newer data that we have done on human samples.

16 --o0o--

17 DR. XU: The first study is a direct
18 collaboration with Terry Hrubec at Virginia Tech. And so
19 they did a blood sample collection at Blacksburg,
20 Virginia, which is a college town, and participants
21 over -- 18 years or older. Total is 43 samples collected.
22 And the way we process the samples are like we're spiking
23 deuterated BAC, benzalkonium chloride, internal standards.
24 We did a lipid extraction actually, because most of these
25 QACs we analyze are very lipophilic, using Folch solution.

1 And then after drying those, we reconstituted them into LC
2 solvents. And then we do the targeted LC-MS/MS analysis.

3 So this manuscript is now in pre-print. We're
4 currently doing the revision. Hopefully, that can come
5 out soon.

6 --o0o--

7 DR. XU: So just to show you what we see, just
8 picking three samples from the collection, sample 4, 17
9 and 38. You can see showing the 12, 14 and 16. In these
10 samples, they have varied levels. It depends on the
11 individual. And even the distribution for the different
12 compounds is a little bit different. We do see DDAC as
13 well, which is not shown here.

14 --o0o--

15 DR. XU: And this is a summary of the data we
16 have seen. It's from -- you know, for all 43 samples.
17 And different color shows different compound, like 10, 12,
18 14, and 16 BAC and also DDAC. We can see some of the
19 highest level can reach is sixty-some nanomolar for one
20 individual and total QAC in that individual is probably
21 reaching from 100 to 200 nanomolar. And there's another
22 pretty high individual. And there are a lot of low
23 nanomolar concentration among -- on other individuals.
24 But we can see the distribution range is quite high. And
25 some individual could -- actually has really high level of

1 these QACs in their blood.

2 --o0o--

3 DR. XU: So next I'm going to talk about some of
4 the pilot study we have done on human urine samples. So
5 after last year's meeting, I've kept in communication with
6 Nerissa Wu from Biomonitoring California. And so we
7 started this pilot project to look at human urine samples.

8 For one, it's that human urine is much easier to
9 collect, particularly during COVID. You can avoid
10 in-person interaction and you can still get urine samples.
11 So these are, I think, from the early staying at home
12 order, the plan collected samples.

13 --o0o--

14 DR. XU: So first I want to touch on the, you
15 know, method, which is -- you know, we settled on this
16 method. It seems to be working pretty well and also very
17 straight forward to work out the samples.

18 Basically, we add ice-cold acetonitrile and with
19 deuterated standards already in there. And then we chill
20 them on ice to precipitate into proteins. And you do
21 centrifugation, and then take the aliquots, supernatant it
22 out, and concentrate them down under vacuum, and then we
23 finally reconstitute them into LC solvent. Then do
24 LC-MS/MS analysis.

25 --o0o--

1 DR. XU: So this is what I show is from one human
2 urine samples and the metabolites we have seen. We didn't
3 see parent compounds in this -- in this urine sample. On
4 the top chromatogram, are from this urine sample, we can
5 see these are all carboxylic omega-carboxylic acid
6 metabolites with a 12, 10, 8, 6, and 4 carbon chain. And
7 the concentration ranged from one to 16 nanomolar, and
8 total is about 45 nanomolar.

9 So if you compare this carboxylic acid metabolite
10 profile with this C16 BAC incubated products from human
11 hepatocytes, we can see the matching of this omega
12 carboxylic acid metabolites with this profile. But in the
13 human urine, we didn't see 14 and 16. So we are guessing
14 the longest chain in this individual probably is C12
15 that's been exposed. But we're not sure about parent
16 compound of 10, 8, 6, whether they exist or not, because
17 as I mentioned earlier, the carboxylic acid can undergo
18 beta-oxidation to lose two carbon, and at the time,
19 eventually reach to these potential products.

20 --o0o--

21 DR. XU: So in the last part, I want to, you
22 know, touch base on some of the literature data on QAC
23 disposition that was done in animal models. I hope to
24 give you a whole picture of their disposition, even though
25 there are differences between, you know, animal, like in

1 particular mouse, and rats, and humans, because mouse and
2 rats do metabolize much faster. But I think this is some
3 indication, that you can potentially extrapolate it into
4 some human disposition data.

5 --o0o--

6 DR. XU: So these are a couple of studies in
7 early 2000s from -- in rats after IV or oral intake. The
8 top one is from IV injection, seven microgram per gram
9 injection. And the rats were sacrificed 30 minutes later.
10 So you can see the distribution of BACs after this
11 periods. It's kidney has the highest level followed by
12 lung and spleen. And the blood level in serum is actually
13 pretty low and liver level is also pretty low.

14 But we know these compounds can be metabolized
15 well by liver. And if you look at the -- oral intake data
16 from 115 microgram per gram of intake, and the rats were
17 sacrificed 24 hours later, you again see the kidney
18 accumulate the highest level of these compounds, followed
19 by lung, and liver is pretty low, and the blood is the
20 lowest.

21 So this is suggesting like, you know, blood may
22 not be the highest level that you can see for these
23 compounds. And also, if you will look at our human blood
24 sample, also Amina's data on human blood samples, that
25 could be an indication in other issues, like kidney or

1 lung, maybe they have even higher accumulation, for
2 whatever reason. It could be because of their uptake. It
3 could be because of their limited metabolizing capability.

4 --o0o--

5 DR. XU: So another data I want to present here
6 is some radiolabel study that is cited often by EPA and
7 FDA, even though these are unpublished data, but some FDA
8 documents, for example, in this particular document cited
9 this and included the exact number.

10 And also this recent review also cited this data.
11 So these studies were done in rats and then fed -- feed
12 the rate with C14 labeled, benzalkonium chloride either by
13 IV or oral dose at 10 milligram per kilogram per dose.
14 And so the feces, urine were collected throughout and also
15 tissue were harvested.

16 So as you can see, even with IV dosage, there's a
17 lot of fecal excretion and also urine excretion. It's 30
18 percent remain in the tissue. So this is an indication
19 that urine and feces excretion are major routes,
20 particularly for fecal excretion.

21 And for oral doses, as you can see, you know,
22 fecal excretion is predominant, followed by urine, and
23 tissues normally range is one percent or less. That is,
24 you know, after a week or two collection of samples
25 already.

1 So what I want to really point out in this data
2 is that the -- from the fecal samples they have -- they
3 find -- they find like about 65 percent are parent
4 compounds while 35 percent metabolites. They have
5 indication that metabolites are hydroxy or hydroxy-ketone
6 metabolites. But really, they didn't figure out the
7 structure of those metabolites. But we know now those
8 primary metabolites could be hydroxy compounds, could be
9 ketone, and could be carboxylic acid. And we know those
10 are formed from human cytochrome P450 that are in the
11 liver.

12 I think that's a very, you know, important, you
13 know, concept here is that this compound do get absorbed,
14 and they do get metabolized. That's how you can see these
15 metabolites. That's it -- after excreted back into the
16 intestine.

17 --o0o--

18 DR. XU: So I hope to give you a whole picture of
19 the, you know, disposition routes of QACs. They could
20 be -- have intake through oral intake, but it could also
21 have intake through inhalation and possibly skin surface.
22 I viewed inhalation could be very dangerous routes of
23 intake, because lung has very low metabolizing capacity,
24 so that means the compounds would likely enter the
25 systematic circulation without much metabolism. So either

1 way, if they are intake, we know they are absorbed and
2 then we know liver metabolize them, and we know liver
3 actually secrete both parent compounds and metabolites
4 back to intestine through biliary secretion and that can
5 go out into feces or they can actually re -- be reabsorbed
6 back to the circulation system.

7 So -- and then through the circulation they can
8 reach to different tissues, including the kidney. And in
9 some other unpublished data we have find some organic
10 cation transporter can actively uptake these compounds and
11 the kidney and has expressed a major form of this.

12 And kidney, either they can retain the compounds
13 or they can excrete them out through urine. From the data
14 in animal, there seems to be some indication kidney may
15 not be able to excrete them very efficiently. That's why
16 they have accumulated highest level of these compounds.

17 --o0o--

18 DR. XU: So just to summarize, I hope you can
19 understand that these compounds as a foreign compounds we
20 do metabolize them. Our body has the machinery to be able
21 to metabolize them. And we can quantify both the parent
22 compounds and metabolites very sensitively and a
23 targeted -- in a targeted way from a variety of biological
24 samples. And they are absorbed in human blood and urine
25 samples and we see metabolites in the urine as well.

1 And also suggesting the fecal, urine, or blood
2 could serve as good biomonitoring samples. But if the
3 blood collection is, you know, troublesome or not feasible
4 during the COVID, but fecal and urine sample would be very
5 good samples too to monitor for their exposure level in
6 humans.

7 Just to acknowledge my group, this is pre-COVID.
8 I think I showed the same picture last year. So, Ryan,
9 who did most of the metabolism and analytical work on
10 QACs. And Josi and Vanessa did the animal work.

11 And I want to thank the funding support. We have
12 a pilot grant from the School of Pharmacy to start this --
13 working on this project.

14 And I'd be happy to answer any question that you
15 may have.

16 Thank you.

17 CHAIRPERSON SCHWARZMAN: Thanks so much. We
18 appreciate that.

19 We have 15 minutes for -- actually, we have -- if
20 we need the time, we have just a little bit more because
21 you finished a little early for questions for Libin from
22 both the Panel and the audience.

23 Yes, Oliver.

24 PANEL MEMBER FIEHN: Yeah. I wondered if you
25 also had used accurate mass analysis to see if there are

1 other types of modified versions, like glucuronidates or
2 other types of metabolites that one could detect?

3 DR. XU: Yes. Glucuronidation is very possible
4 for metabolites. We have started to look at those
5 secondary metabolites. We have seen some in human
6 hepatocyte incubation, for example. We haven't
7 specifically looked for that in the urine samples. We
8 don't have synthetic standards, but we have standards from
9 like incubation with human hepatocytes that we have some
10 idea where they are. Yeah. Very good points. They could
11 have the secondary metabolite that allows them to be
12 excreted more efficiently into the urine.

13 CHAIRPERSON SCHWARZMAN: Other panelist
14 questions.

15 I will -- let me read a question that was emailed
16 in from the audience.

17 In slide 15 -- oh, sorry. I should say this
18 comes from David Dabney at Stepan Company. In slide 15,
19 you show that for most blood samples the total quantified
20 QAC is at or below 10 nanomolar. Can you comment on your
21 prediction of the fraction of the QAC that is free and
22 bioavailable in these plasma samples and how much is
23 likely bound to plasma proteins and thus not biologically
24 active, given the known properties of QACs to tightly bind
25 to organic materials. Do your methods differentiate free

1 versus plasma-bound QAC?

2 DR. XU: Our method doesn't differentiate them,
3 because we did extraction on the whole blood samples on
4 the QAC potential be extracting into the lipid fractions.
5 But that's a good point, that the compounds they do tend
6 to bind to protein, you know, in the plasma, for example.

7 We're in the process of looking at that, you
8 know, like how do they partition between say albumin
9 versus just free environment in the solution.

10 I think that's also a good indication that these
11 compounds could linger in human body for longer time,
12 because they have this tendency to bind to proteins,
13 albumin like, and that could actually slow down the
14 metabolism. And -- so which could be an indication of
15 why, you know, they could be detected, for example, long
16 after exposure in animal models. However, in the -- if
17 you do the -- in the many reactions, their consumption are
18 done in 30 minutes, so for example.

19 So I think that's a -- you know, that's a very
20 good point, but it does -- I think the longer half-life
21 doesn't provide, I guess, the opportunity for the compound
22 to be systematically circulating to different tissues.

23 CHAIRPERSON SCHWARZMAN: Thank you for that.

24 Other questions from panelists?

25 And Elizabeth, let me check in about -- okay. I

1 just saw that Jenny has a question and then I'll check in
2 with Elizabeth. Let's go that way. Go ahead, Jenny.

3 DR. MARDER: Jenny, I don't think we can hear
4 you.

5 You can try again.

6 PANEL MEMBER QUINTANA: Hi. I think I'm unmuted
7 now. Can you hear me?

8 DR. MARDER: There you go.

9 PANEL MEMBER QUINTANA: Okay. Thank you for your
10 very, very interesting presentation and all your hard work
11 that you've done on this issue. So it just sounds like my
12 naive takeaway from what you presented is that you would
13 think that urine would be a better way biomonitor rather
14 than blood or is that too simplistic of a takeaway?

15 DR. XU: Well, I think urine, during pandemic,
16 may be easier to collect, that you don't have to go in
17 person to collect it. It's more convenient. It
18 definitely is -- it will be good to have blood, because
19 the profile I think will be different. What you, in
20 urine, will mostly observe is very polar metabolites that
21 are water soluble excreted out in the urine. But in
22 blood, we may see the parent compounds or other less polar
23 metabolites.

24 Yeah. But for convenience, urine is easier to
25 collect. I would say fecal sample would be the best,

1 because I expect fecal sample to contain the majority of
2 the information. That's because of the excretion routes
3 that we have seen from -- I guess, from animal models.

4 PANEL MEMBER QUINTANA: Thank you.

5 CHAIRPERSON SCHWARZMAN: José.

6 DR. XU: I guess you're still muted.

7 CHAIRPERSON SCHWARZMAN: Yes, I was waiting for
8 José to speak.

9 PANEL MEMBER SUÁREZ: There. Sorry. For some
10 reason, the organizer muted me, I think, while they were
11 trying to unmute me.

12 Okay. My question is do you have an idea of what
13 the variability, in other words, like what the within
14 individual variability and how that may compare to the
15 between individual variability say in urine of
16 measurements of these metabolites?

17 DR. XU: Yeah. Good point. I think, first of
18 all, the exposure could be very different between the
19 individual. And another thing is I want to mention, many
20 of the enzyme they're metabolizing these compounds. Kind
21 of highly polymorphic. And there are certain individuals
22 that are poor metabolizers, that means -- that means they
23 could affect their, you know, half-life in our body. It
24 could affect whether we efficiently clear them in our
25 body.

1 The CYP2D6 is one of the best known, highly --
2 has a very high range of metabolizing capacity that vary
3 among different individuals.

4 PANEL MEMBER SUÁREZ: Right. So, I mean that --
5 I mean, that's correct. So it's a link between half-life,
6 the exposure -- recurring exposures and then you have
7 metabolism all playing a role in that. I would -- do you
8 have any sort of information. My naive, I guess, guess
9 would be that the variability in blood would be much
10 higher than that of urine. And I suppose the methods of
11 quantification in urine may be a little harder, because
12 the concentrations, I would think, would be substantially
13 lower in blood than they would be in urine. Can you
14 comment a little bit on that?

15 DR. XU: You're saying in the urine be lower than
16 the blood?

17 PANEL MEMBER SUÁREZ: The other way around. In
18 the blood would be lower than in the urine, as you
19 anticipated more concentrated --

20 DR. XU: I think -- I mean, from our data, the
21 collaboration with Terry Hrubec and also the urine sample,
22 we think the blood sample probably has higher level
23 overall, particularly of parent compound levels. Urine,
24 like I said, what we observed, are kind of terminal
25 metabolizing products, we think. Yeah.

1 PANEL MEMBER SUÁREZ: And just to follow up on
2 that question. With regards to the metabolites, are there
3 any sources of exposure to the metabolites that are not
4 necessarily correlated with the exposures to the actual
5 compound that we should be aware of?

6 Sometimes this would happen, like in the
7 pesticide world, we're measuring, for instance, dialkyl
8 phosphates where they are a marker of exposure to
9 pesticides, but also if people are exposed to dialkyl
10 phosphate, not actually the pesticide, that they would
11 have detection, of -- you know, the test would come out
12 positive -- positive value, so they're actually exposed to
13 the metabolite not to the actual pesticide, which kind of
14 means something different when it comes to the toxicity of
15 the chemical, right? Is there any notion about that
16 perhaps?

17 DR. XU: Okay. I think you mean is whether this
18 compound can, you know, somehow transfer into the
19 metabolites outside human body.

20 PANEL MEMBER SUÁREZ: (Nods head.)

21 DR. XU: Yeah. So from my current knowledge,
22 there's no evidence for that. I think from some of
23 environmental studies, this hydroxylate -- terminal
24 hydroxylated products or carboxylic acid products are not
25 some deg -- of the degradation products that's observed in

1 the environment say. And so -- and I think some of the
2 people have argued whether our gut microbiome can
3 metabolize these compounds.

4 From my understanding, it's got microbiome
5 bacteria. Because it's anaerobic environment in our gut,
6 most of the transformation actually not oxidation in the
7 metabolism in our gut. And these products we observed
8 actually match really well with the human cytochrome P450
9 metabolism pathway.

10 PANEL MEMBER SUÁREZ: Um-hmm. Thank you.

11 DR. XU: Yeah.

12 CHAIRPERSON SCHWARZMAN: Thanks for that.

13 Veena, I see your hand and then we have a couple
14 of staff comment questions and a couple of public ones.
15 So please, go ahead, Veena.

16 PANEL MEMBER SINGLA: Thank you. Thank you so
17 much for this presentation. I wondered if you have
18 thoughts on factors contributing to the particularly high
19 exposures in some of the individuals. Amongst the 43,
20 you've already mentioned metabolism differences could play
21 a role. I wondered if you had thoughts on other factors.

22 DR. XU: Yeah, I mean, certainly I think some
23 high exposure occupation, janitors, you know, health care
24 workers, they could be of really, really high risk,
25 particularly I think in the environment where you really

1 spray these compounds often. Like I mentioned, you know,
2 inhalation this route of exposure go through the lung.
3 The lung doesn't have as much metabolizing capability.

4 And that means you -- these compounds will gain
5 circulation without being sort of detoxified. I would
6 say, you know, those are probably really higher risk
7 population in here, where the spray are used often. I
8 know during the pandemic, a lot of environment used this
9 and also used in the closed environment, in a school, you
10 know, some.....I know.

11 And the spray I think that you probably heard
12 about the -- some of the Microban, those kind of things,
13 like on the TV commercial. I think those are kind of
14 probably higher threat exposure route at this time.

15 And also, I think last time there were some
16 discussion on the asthma risk associated with occupational
17 exposure. So that -- I think that certainly increased
18 that part of the risk too.

19 PANEL MEMBER SINGLA: Thank you.

20 CHAIRPERSON SCHWARZMAN: Thanks.

21 We have a couple of staff comments or questions.
22 I think Nerissa.

23 DR. WU: Hi. I just wanted to add -- Thanks,
24 Libin. That was great. But we mentioned the intraprogram
25 pilot study. This is a protocol we have for doing method

1 development and demonstration. And as part of the samples
2 that Libin already described to you, we do have a small
3 group of paired fecal urine samples from clinical workers.
4 And it's a very small number. And fecal samples are not
5 anybody's favorite way of biomonitoring, but we hope that
6 it will add to this body of data and give us a better
7 sense of the proportion of urine versus -- this fecal
8 metabolite -- rather excretion.

9 DR. XU: Yeah. We definitely look forward to
10 looking at those samples. I think they are in pipeline
11 out for analysis.

12 DR. WU: Awesome.

13 CHAIRPERSON SCHWARZMAN: Thanks.

14 And Amina had a question or comment.

15 DR. SALAMOVA: Yes. Thank you. Thank you, Libin
16 for a great presentation. I'm glad to see that we're
17 sharing some interest in biomonitoring of QACs.

18 I was wondering if you are planning, or maybe you
19 have, looked at ATMACs in blood, because we do see almost
20 50 percent contribution from ATMACs in our blood samples.
21 So they are definitely present in equal quantity to BACs
22 in the blood samples. And I'm afraid if you don't look at
23 them, you'll miss that portion of the blood.

24 And I understand that ATMACs can be metabolized
25 more slowly in the body, so that can be a reason for the

1 higher presence in the blood. So do you have any comment
2 on that?

3 DR. XU: Yeah. Yeah. Thanks Amina for that
4 advice. I think after hearing your talk, we definitely
5 will look more in the ATMAC compounds. Currently, they
6 are not on our targeted method, but we do, you know, high
7 res mass spec analysis too. So potentially, they are in
8 some of our, you know, profiling, you know, spectra that
9 we can fish out. But definitely I think we'll try to add
10 that into our targeting method, so we can get a more
11 accurate quantitation.

12 Do you have, I guess, deuterated label standards
13 for those compounds?

14 DR. SALAMOVA: I can't remember off the top of my
15 head, but I can check and get back to you. I think we do.

16 DR. XU: Okay. Cool. Great. Yeah, we'll be in
17 touch.

18 DR. SALAMOVA: Yeah. We'll keep in touch. Thank
19 you.

20 CHAIRPERSON SCHWARZMAN: Thanks.

21 Elizabeth, can you help us with the public
22 questions from the audience?

23 DR. MARDER: Absolutely. One of them was already
24 covered. Just very briefly, we had a question from Guomao
25 Zheng about did you analyze some other metabolites e.g.,

1 the hydroxylated QACs in urine? I think that was covered,
2 unless you wanted to add something else.

3 DR. XU: We do look for hydroxylated products in
4 urine. We didn't see that. Yeah. That's why I say like
5 in urine, likely those are like very polar metabolites
6 that are excreted out through the urine, and the
7 carboxylic acid are kind of the terminal products. Very
8 polar. Highly water soluble. That's probably why we see
9 those predominantly in the urine.

10 DR. MARDER: Thank you.

11 And then another question, have you noticed any
12 contamination issues when analyzing the parent QACs? This
13 is from Amy McDonald, University of Calgary.

14 DR. XU: Yes, it is a problem. So we always draw
15 blanks. Like including when do sample processing, we do a
16 blank extraction and carry that through. So that give us
17 some baseline level of what kind of signal we might see
18 for that particular batch of samples.

19 Yes, it is a problem and so we only view signal
20 that's, you know, above or several times above the
21 baseline level to be, you know, comfortable say that's
22 detection. I think that's -- that's an issue for this. I
23 think Amina's data also showing that there's always some
24 background levels around.

25 Also, you know, that's another indication that

1 these kind of compounds are really used very prevalently.
2 You could be in many of the southern production for
3 example, like in -- for our LC-MS analysis and it could be
4 in many of the containers too. So -- trace levels. So I
5 think it's certainly everywhere. Yeah, it's very -- yeah.
6 It would be a good practice to do that blank workup and
7 also run the blank every once in a while during your
8 rounds.

9 DR. MARDER: Okay. One more question and one
10 request to speak. The question is a note on slide two
11 content that -- about the statement that no public data on
12 QAC exposure levels in humans and a 14-year old EPA
13 document the re-registration eligibility decision document
14 being cited. Do you believe that all the exposure
15 estimates in U.S. EPA's 2017 workplans are some how quote
16 not public or not relevant? This comes Aron Pollard of
17 Pilot Chemical.

18 DR. XU: I would say, the cited data I think is
19 probably also -- you know, I've cited those for their
20 usage, you know, where were they used. I haven't seen any
21 actually published data to human exposure level in blood
22 or in other biological samples. Yeah, so I think an EPA
23 document could cite some of those numbers, but I really
24 didn't see those original data, like in the -- where that
25 is from.

1 Yeah, and -- yeah, I mean, there's -- in the
2 public domain, I haven't seen that. But if you have those
3 data, please let me know.

4 DR. MARDER: Okay. And there are now two for
5 requests to speak, Meg, if you have time for one or both.
6 I will unmute the first person. So June-Soo Park, you're
7 first. There you go.

8 DR. PARK: Thank you. Thanks again, Dr. Xu for
9 wonderful and inform -- very informative talks.

10 My question may be a little follow up to what Dr.
11 Fiehn asked earlier. I know, this may be likely very
12 simple and naive one. I remember the -- you showed the --
13 I saw some of your observation on the QAC distribution
14 among organs, like lung, kidney, liver, and blood, more
15 prevalent in the lung and kidney system. I'm just trying
16 to get some hint how much they exist in the -- in free
17 form or conjugated general in -- in general in blood or
18 urine samples. I'm sure the -- there will be also the --
19 you know, the compound-specific, also the people-specific,
20 you know, also the -- how recently they got exposed.

21 But I'd like to get some idea what kind of free
22 form I can expect from the liquid samples, like blood and
23 urine samples. I don't -- I know the -- have you done any
24 simple experiment like enzyme incubation? I'm not sure.
25 Yeah.

1 DR. XU: Yes. So we have done human liver
2 microsomes incubation. But those are -- those are in vitro
3 experiments. They consume the QACs really fast.

4 And then for tissues and the dose, the study I
5 cited, they're measuring the parent compounds. I don't
6 think that they - I guess at the time of their study -
7 didn't know what kind of metabolite to look for. I don't
8 think they measure any metabolites in those studies.

9 And we know that the parent compounds are
10 substrates of organic cation transporter, for example,
11 that's expressed in kidney that will uptake them. So that
12 could be, you know, one of the reasons they are higher in
13 the kidney. But we don't know, yet, whether the
14 metabolites are also the substrate of organic cation
15 transporter.

16 CHAIRPERSON SCHWARZMAN: Thank you, Libin. We
17 need to break now for our transcriber. And so we will
18 have a chance to address other questions maybe during
19 discussion at some point. So we're going to go to a break
20 now. Thank you very much for -- Libin, for your
21 presentation and your discussion. And we'll resume at
22 2:50 p.m. Thanks.

23 DR. XU: Sounds good. Thanks.

24 (Off record: 2:37 p.m.)

25 (Thereupon a recess was taken.)

1 (On record: 2:50 p.m.)

2 CHAIRPERSON SCHWARZMAN: I will restart the
3 session here. I -- we are going to have two presentations
4 now before opening our session on considering QACs as
5 potential priority chemicals.

6 So I want to introduce Keith Hostetler and John
7 DeSesso, understanding that we might not have John on
8 quite yet.

9 Keith is a Senior Managing Toxicologist in the
10 SafeBridge Regulatory and Life Sciences Group of Trinity
11 Consultants. Prior to becoming a consultant, he spent
12 more than 20 years in the specialty chemicals area with
13 multi-national expertise in toxicology and regulatory
14 affairs. He -- Keith holds a PhD in pharmacology and
15 toxicology from the Medical College of Virginia at
16 Virginia Commonwealth University.

17 And John DeSesso is a Principal at Exponent,
18 Inc., which is a scientific and engineering consulting
19 firm and a professor at Georgetown University School of
20 Medicine. He has over four decades of experience in the
21 areas of developmental and reproductive toxicology,
22 embryology, anatomy, and risk assessment.

23 John earned his PhD in anatomy and teratology
24 from the Medical College of Virginia, which is now
25 Virginia Commonwealth University School of Medicine.

1 So Keith and John will present on evaluating the
2 safety of QACs.

3 (Thereupon a slide presentation.)

4 DR. HOSTETLER: Okay. Thanks to the group for
5 inviting us to be part of this. I'm struggling now. I
6 was expecting to see my slides closer to me in front of
7 me. Maybe I got the -- okay.

8 So as I mentioned, we're going to be covering
9 about 40 year's worth of work here in the next 20 minutes,
10 so we're going to have to move fast and I'll turn it over
11 to my colleague John DeSesso momentarily.

12 We've already been talking about -- through a
13 number of presentations, about QACs, especially the
14 disinfecting QACs and their important role being named and
15 being included on EPA's list because of their efficacy
16 against pathogenic organisms. They're unique and
17 favorable, in that they perform at very low
18 concentrations. And when used as directed, over the
19 years, they've proven to be safe and effective when they
20 are used as directed.

21 --o0o--

22 DR. HOSTETLER: They're registered around the
23 globe for a number of uses. And that's based on the
24 extremely robust datasets that have been developed over
25 the years and reviewed by the Authorities.

1 --o0o--

2 DR. HOSTETLER: We talk a little bit about a
3 couple of different ways to use these. I have a slide
4 here to just sort of focus in on, well, two user groups.
5 First, maybe the residential, the consumer side, where
6 QACs are used and approved for use in food sanitizing --
7 or food contact surfaces. About a teaspoon full of a
8 concentrate in seven and a half gallons, to give you a
9 sense for how low they are and still effective, and more
10 in a occupational setting, for example, in a health care
11 setting, concentrations of 3,000 ppm, that's in diluting
12 about a teaspoon full in two liters of water. So it gives
13 a very strong disinfecting health care grade product. In
14 some of these cases for the more concentrated uses, of
15 course, personal protective equipment is indicated on the
16 labels.

17 --o0o--

18 DR. HOSTETLER: As I mentioned, over 40 years,
19 these compounds were introduced. I think we saw that in
20 Dr. Arnold's presentation back in the 40s approximately.
21 But they've been studied continually for that time by the
22 regulatory authorities. They require far more stringent
23 than peer review. They require guideline-compliant
24 studies that have to meet a range of demanding properties
25 before they're accepted and can be used for human risk

1 assessment.

2 They require studies in relevant species that are
3 predicted with human health endpoints. And when these
4 reviews have been conducted and they continue to be
5 conducted on an ongoing basis, they've been shown both by
6 U.S. EPA and by the European Chemicals Agency to be
7 approved for their current uses with no restrictions.

8 --o0o--

9 DR. HOSTETLER: Interestingly that's part of
10 the -- what makes them unique as well, is that they're not
11 systemic toxicants. They're not known to cause any
12 adverse effects distant from where they actually touch
13 tissue. If you give them orally, they cause gastric
14 irritation. If they're applied dermally to the test
15 animals, they can be irritants in a high enough
16 concentration, even corrosive, but these are at high
17 concentrations. The no observed adverse effect levels is
18 what the EPA and ECHA use to ensure that the
19 concentrations in the dilute products that people are
20 being -- are using and potentially exposed to are safe.
21 I'll highlight that QACs are not on any California
22 Proposition 65 list.

23 --o0o--

24 DR. HOSTETLER: Dr. Arnold mentioned, and it has
25 been well determined, that QACs in the environmental fate

1 are well understood. They bind to sediment.
2 Predominantly, they do get removed from sewage treatment
3 plants and that improved with the Clean Water Act and
4 improved wastewater treatment performance.

5 They do not volatilize. These are non-volatile
6 substances. They do not volatilize into the air. That's
7 a fact that's known, that's unquestioned. There has been
8 tests on dozens of species of aquatic both chronic and
9 acute data. All that's taken into account when
10 conclusions are drawn about ongoing safe uses.

11 I listed here the criteria for priorities that
12 was discussed at the beginning of today. And I note some
13 general observations. U.S. EPA from an exposure potential
14 estimates that unintentional but possible dietary exposure
15 is less than a half a milligram -- less than a quarter of
16 a milligram per kilogram body weight per day.

17 Dr. Salamova, from one of her publications,
18 talked about dust, has quantified dust and estimated dust
19 ingestion of far even below that. And we see from Dr.
20 Arnold's presentation low concentrations, micrograms per
21 liter, in wastewater treatment plants.

22 QACs are non-carcinogenic. They're not
23 developmental reproductive toxicants. Dr. DeSesso will
24 cover that endpoint in some detail. Where concentrates
25 are handled, personal protective equipment is required.

1 There are -- they can be detected, as we've spent a lot of
2 time too talking about detection. I will point out that
3 there can be as many as several hundred similar compounds
4 with similar molecular weights. And these have to be very
5 carefully validated methods when it comes time to
6 quantify. So they're not -- they don't seem to be similar
7 to other products or other substances that are listed on
8 your priority monitoring list.

9 --o0o--

10 DR. HOSTETLER: I mentioned too, Dr. Arnold's
11 work was very well received. We agree and believe that
12 that's a fair representation. You can detect them in
13 sediment. They're not detectable in surface waters. They
14 don't migrate into drinking water. They have been tested.
15 They're typically found in the parts per million, parts
16 per billion or lower concentrations in the environment,
17 and these are well below any concern levels for effects on
18 species.

19 --o0o--

20 DR. HOSTETLER: In terms of exposure, I think
21 there was a question about exposure patterns. I would
22 invite the Panel to spend some time with EPA's 2017
23 workplans for ADBAC and DDAC. These spend quite a bit of
24 time detailing exposure patterns, how people could be
25 exposed to these substances.

1 And in that, EPA identifies an acceptable daily
2 ingestion of less than a half a milligram per kilogram per
3 day. From Dr. Salamova's toddler estimation in her paper,
4 this fraction of an exposure is some 700 times lower than
5 that. Keeping in mind, this is a safe dietary exposure
6 level based on all the cumulative robust data that's been
7 submitted. Again, calling for research that there's
8 urgency around risks associated with increased exposure
9 when we're still talking about parts per million in dust
10 seems a bit unwarranted.

11 --o0o--

12 DR. HOSTETLER: So the overall valuation has been
13 considerable. There's lots known about human exposure
14 potential. There's tons of data of well designed,
15 guideline studies that identified no risk levels and safe
16 uses. And these are approved and used around the world,
17 because of this robust data package.

18 --o0o--

19 DR. HOSTETLER: Time doesn't permit me to go
20 through all of the endpoints from a recent ECHA review,
21 but this is an example. So in the appendix, the slides
22 that you all have, you can see all of the endpoints that
23 were looked at by the European Chemicals Agency. I
24 highlight developmental toxicity, because that's an
25 element and a potential endpoint that has garnered some

1 attention. Because of that, an expert panel has been
2 convened to conduct a more rigorous and systematic review
3 of both published and unpublished results. Dr. DeSesso
4 chairs that expert panel. And if he's available to talk,
5 he's going to take over the presentation from here.

6 DR. DeSESSO: Well, thank you very much, Keith.
7 Can you hear me?

8 DR. HOSTETLER: Yes.

9 DR. DeSESSO: Yeah. Okay. Fine. Thank you very
10 much Dr. Hostetler.

11 So the -- recently, over the past six or seven
12 years, a series of papers have been published, which
13 alleged that ADDAC -- ADBAC and DDAC cause reduced
14 fertility and neural tube defects in rats and mice. And
15 as Dr. Hostetler has mentioned to you. These two
16 chemicals have been used for over 50 years by -- and
17 they've been evaluated by multiple regulatory agencies and
18 considered to be safe when used as directed.

19 So as a consequence, a teratology working group
20 was empaneled to assess all of the developmental and
21 reproductive data for these two chemicals and to ascertain
22 whether these substances actually do cause developmental
23 or reproductive effects.

24 Next slide, please.

25 --o0o--

1 DR. DeSESSO: So I -- in order to this, the Panel
2 elected to do what's called systematic review. And for
3 those of you who don't know what a systematic review is,
4 briefly, it's an attempt to objectively assess the
5 scientific evidence to clearly -- a clearly formulated
6 question, which in this case is do these chemicals cause
7 reproductive or developmental toxicity.

8 And it uses a -- an explicit and transparent well
9 defined methodology to do this. These are used most for
10 the identification of the papers and reports to be
11 reviewed, as well as selecting the appropriate ones to
12 analyze and then to critically appraise them and use only
13 the most relevant research to draw conclusions.

14 Next slide, please.

15 --o0o--

16 DR. DeSESSO: So what we did was we did an
17 automated literature review of the worldwide literature
18 for using the names of the chemicals - ADBAC, DDAC - as
19 well as benzalkonium chloride and their CAS numbers, and
20 combined them with a variety of terms related to
21 reproductive and developmental toxicity.

22 And our search revealed about 789 potential
23 articles. We reviewed the abstracts and titles of the
24 culled list and came down to eight in vivo laboratory
25 studies that were performed in mammals.

1 Next slide, please.

2 --o0o--

3 DR. DeSESSO: Among those eight studies, we found
4 two chapters that came from a -- from a dissertation. And
5 that dissertation was available online. When we looked at
6 the dissertation, we found two additional chapters in that
7 dissertation that had been written up in manuscript
8 format, but had not yet been published. And so those are
9 included in those eight studies that we wanted to review.

10 Now, in addition to the worldwide published
11 literature, we also obtained safety studies for ADBAC and
12 DDAC, which were supplied to the working group. And this
13 constituted an additional six safety studies, plus four
14 dose range finding studies, which we sort of subsumed
15 under the definitive studies that we received.

16 Next slide, please.

17 --o0o--

18 DR. DeSESSO: Now, in order to assess the quality
19 of the -- and to be unbiased in the way we would do this,
20 we used a software program called the ToxRTool method. It
21 was developed by the European Centre for Validation of
22 Alternative Methods, that's ECVAM. And it was -- the
23 methodology is published on the open literature -- in the
24 open literature and it's available on the net.

25 And what this series -- what this does is it asks

1 a series of yes/no questions that you can answer either
2 yes as a one or no as a zero. And the computer program
3 keeps track of the yeses and noes. And it also keeps
4 track of the topic areas in which these are -- where these
5 are supposed to take place. And at the end of this thing,
6 they evaluate each of the different topic areas and some
7 of the scores, and categorize the studies into one of
8 three categories. The best category, category one, this
9 study was considered to be reliable without restrictions.
10 Category two, they're reliable, but there are some
11 restrictions, and the third category, as ones that are not
12 reliable.

13 Now, it's important to recognize that when we say
14 they're reliable and all this, that this is for the
15 purpose of assessing potential risk. All right. So
16 that's -- are these good for risk assessment?

17 Next slide, please.

18 --o0o--

19 DR. DeSESSO: So the results of our ToxRTool
20 analysis, we found four studies that were in category one,
21 two studies that were reliable with restrictions in
22 category two, and eight studies that were considered not
23 reliable. The two studies that were considered reliable
24 with restrictions were among the unpublished studies.
25 They were studies done in rabbits back in the early 1990s.

1 And at the time they were performed, they met regulatory
2 guidance.

3 But recently, the number of rabbits that are to
4 be used in studies are -- has increased. And for that
5 reason, we elected to downgrade the -- those studies to
6 category two, because they don't meet modern standards.

7 Next slide, please.

8 --o0o--

9 DR. DeSESSO: So the big thing to consider, I
10 guess, is to talk about the studies that were in category
11 three. And what these -- in those -- within that group of
12 five -- of eight studies, five of them are studies that
13 have alleged reproductive and developmental effects of
14 ADBAC and DDAC. And I want to discuss why they were
15 considered to be not reliable.

16 In general terms, there are numerous studies for
17 risk assessment purposes with these studies. Many of the
18 findings in the studies are told more as anecdotes than
19 they are as experiments, so they -- many of them don't
20 have really rigorous scientific methods for the
21 experiments. And oftentimes, they use non-standard
22 methods, which were not really well described and so it's
23 difficult to understand what was done and how it was
24 performed.

25 So what I want to do next is just as examples

1 talk about just three of the concerns so you get a flavor
2 for why these things were different. And the three topics
3 will include the -- what the exposures were under the
4 experimental conditions, which use ambient exposures; how
5 doses were calculated in those studies in which materials
6 were given by diet; and to talk a little bit about the
7 terminology used by the authors when they described their
8 findings.

9 So the next slide --

10 --o0o--

11 DR. DeSESSO: -- we'll talk about the ambient
12 exposures. And the ambient exposure conditions as
13 provided by the authors were animals that were in animal
14 rooms, in which a cleaning or disinfecting agent used a
15 combination of ADBAC and DDAC in the -- in the -- in its
16 formulation. In no case where the -- were either of these
17 chemicals measured or identified in maternal tissues.
18 That would be in blood, liver, or placenta, nor were
19 they -- nor were they described or quantified in the
20 embryos.

21 And most importantly, the authors did not
22 indicate what the mode of exposure was supposed to be.
23 And this is interesting, because those two substances have
24 very low vapor pressures. This little table here
25 indicates that compared to water, both of them are between

1 a million to several billion fold lower than water in
2 terms of vapor pressure.

3 So there's really not much of it escaping into
4 the atmosphere for breathing purposes. The photograph in
5 the lower right-hand corner is a photograph of the caging
6 that was used in these studies. And you'll notice that
7 they have lids on them. The lids have some holes at the
8 top or a hole in the top, in which a filter could be
9 placed, but it's not a very large opening. And so the
10 question remains how do we think the animals got exposed
11 to the material?

12 I'd also call your attention to the fact that if
13 you look at that cage, there are five mice in the cage.
14 The cages are designed to hold five mice.

15 The next slide --

16 --o0o--

17 DR. DeSESSO: -- we'll talk about the dose
18 calculations. And in this case, recall that in toxicology
19 experiments, dose is calculated as the massive material
20 that gets into an animal on a daily basis or the
21 milligrams per kilogram per day. And in this particular
22 set of experiments, the authors did not weigh the female
23 mice during gestation saying they didn't do that, because
24 the weights were variable. And, of course, in mammals,
25 they are variable and mice gain approximately 40 percent

1 more body weight during the course of gestation, which
2 means that you need to adjust the dose as you go through
3 gestation.

4 We don't know what they -- how that was done in
5 this study. And the dietary material was applied to the
6 animals by a gel cube that had incorporated in it the
7 laboratory cleaning solution. And these gel cubes were
8 then placed in the cages on an as-needed basis. But the
9 authors don't really tell you how they monitor how much
10 the animals ate. A typical mouse in early gestation
11 weighs between 30 and maybe 32 grams. It gets up to be as
12 high as perhaps 35 or 38 by the end of gestation. So
13 these cubes were pretty much the size -- 80 percent the
14 size of an animal.

15 The authors stated that the animals consumed 28
16 percent of their weight per day. And it's not clear how
17 that was measured, because they didn't weigh the animals.
18 And we don't what -- how they figured out how they -- how
19 much of the cube they ate, unless they weighed it before
20 and after, but there were no records of that.

21 And importantly, they didn't -- they weren't
22 clear as to how many animals there were in each cage, and
23 so if you had five mice in the cage, then you've got
24 another variable there. And some animals might eat more
25 than others or some cages might only have four animals in

1 it.

2 So it appears to us that the doses were based on
3 a series of estimates and not really on measurements.

4 Next slide, please.

5 --o0o--

6 DR. DeSESSO: And so the last thing I wanted to
7 talk about, with respect to this, was the use of the
8 word -- of the term neural tube defects. And you have to
9 understand that neural tube defects are really a loosely
10 defined set of mal -- set of malformations that are seen
11 at term.

12 In humans, of course, that's after birth. In a
13 mouse that would be somewhere around day 18 or 19, because
14 gestation usually lasts about 20 days. These defects --
15 neural tube defects, always affect the coverings around
16 the -- around the central nervous system. That's the
17 meninges that surround the brain, and the spinal cord, and
18 the skull -- or the vertebrae, which protects those. They
19 may or may not involve malformations of the brain and
20 spinal cord itself.

21 And so that's an important -- an important
22 difference between what was described here and what was --
23 what we would see in a day ten and a half mouse embryo.

24 On the next slide --

25 --o0o--

1 DR. DeSESSO: -- we want to -- we want to point
2 out that these slides -- these embryos were identified and
3 looked at on gestational day 10.5. The gestation period
4 of a mouse is about 20 days. And the neural tube is a
5 structure that basically forms something like rolling up a
6 newspaper and then eventually the two ends have to be
7 folded together at the cranial end and at the caudal end.

8 And then that cranial end closes at about -- late
9 on day nine or early on day ten, which is just shortly
10 before the time these authors look at the -- at their
11 embryos. And at that period of time, the meninges and the
12 skull have not been formed and they won't form for another
13 four or five days, so they could not be affected.

14 The photographs that they published show embryos,
15 several of which, especially treated embryos, are at a
16 younger gestational stage than are the controls. And this
17 indicates to us it's probably a case of developmental
18 delay. And it is reinforced by the fact that when they
19 did allow pregnancies to go to term, in none of their
20 papers did they describe any neural tube defects in the
21 offspring.

22 So this suggests that the findings either were
23 developmental delays or they may have been findings
24 related to animals or embryos that were dying, which is
25 called a resorption. And resorptions occur quite commonly

1 in rodents, because rodents have anywhere from 14 to 20
2 embryos at one time. They don't all make it through
3 gestation.

4 The next slide, please.

5 --o0o--

6 DR. DeSESSO: Now, in terms of the other studies,
7 our interim results looked at those other studies, the
8 four in the category -- in the reliable and the two in the
9 reliable with restrictions. And it's interesting that in
10 none of those studies did we see any neural tube defects
11 or any other malformations at doses as high as 200
12 milligrams per kilogram per day.

13 Interestingly, the United States Environmental
14 Protection Agency and the European Chemicals Agency have
15 approved both ADBAC and DDAC. And when you look at their
16 assessments, they're based on studies, all of which are in
17 that dose category in one -- in category two studies as we
18 had talked about.

19 The most recent of those was the one performed by
20 ECHA, which occurred in 2020. And that specifically
21 considered and rejected data from those studies in
22 category three. And there's a statement here that they
23 made that the QACs could be used -- those QACs could be
24 used as disinfectants in animal facilities, as long as
25 they're used following directions.

1 So at this point in the analysis, the working
2 group concurs with the regulatory agencies.

3 Next slide, please.

4 --o0o--

5 DR. DeSESSO: The conclusions -- the interim
6 conclusions of our systematic review, at this point, are
7 that the data that we've reviewed in the categories one
8 and two indicate that it's a rather robust and extensive
9 set of data. We're working through other data. We're
10 hoping to get some more data from Europe to add to this,
11 looking at both the published and unpublished studies.

12 But at this point, we would be saying -- we would
13 be concluding that neither of those chemicals are
14 developmental nor are they reproductive toxicants, and
15 thus, we are -- our conclusions align with those of EPA
16 and ECHA. And it is our intention to publish this
17 assessment once we're finished with it.

18 The next slide.

19 --o0o--

20 DR. HOSTETLER: The next slide is back to me.
21 Thank you for that, John. And just to wrap-up the
22 conclusion that QAC -- disinfecting QACs do play an
23 important role in protecting human health. They control a
24 wide variety of disease-causing organisms, effective at
25 low concentrations.

1 And I really want to point out that guidance and
2 concerns about use, and overuse, and frequent use, the CDC
3 guidelines and EPA's websites on the proper use of
4 disinfectant chemistries is really quite extensive and
5 really quite complete, and offers specific directions on
6 safe use. And I think that's an important consideration.

7 --o0o--

8 DR. HOSTETLER: And that's the end of our
9 presentation.

10 CHAIRPERSON SCHWARZMAN: Thank you very much to
11 both of you. We have 15 minutes now for questions from --
12 and comments from both panelists and audience members.
13 And panelists can ask questions by just raising your hand.
14 I don't see everybody yet.

15 Oliver has a question to start us off.

16 PANEL MEMBER FIEHN: If none of these compounds
17 are volatile, how can it -- can it be that it has been
18 measured in air?

19 DR. HOSTETLER: You're referring to Dr.
20 Salamova's paper --

21 PANEL MEMBER FIEHN: Yes, that is just what we
22 heard today. I'm referring to what I heard today --

23 DR. HOSTETLER: From my --

24 PANEL MEMBER FIEHN: -- (inaudible) and they were
25 clearly detected at levels in air.

1 DR. HOSTETLER: My understanding was she sampled
2 for three weeks at a time before getting detectable
3 levels, is my understanding. Maybe I misunderstood.

4 PANEL MEMBER FIEHN: No. She just -- okay. So
5 on the one hand, I hear that you argue that there's no
6 data and on the other hand, you say there's no need for
7 data because everything is safe, and because it is not
8 volatile. Now, what I've seen today is, A, it has been
9 shown unequivocally now, in two presentations today, that
10 it's being absorbed and regularly detected in humans all
11 the time in blood and in other biofluids, and B, that it
12 has been detected in air by passive sampling.

13 Now, these are two things I did not know before
14 coming into the meeting, where I think these are in
15 conflict to, you know, what we heard before. And that's,
16 you know, based on published data before, but that's the
17 idea of science, and that's also the idea of, you know,
18 going forward is to acquire data that may be, you know,
19 giving us more insights.

20 DR. DeSESSO: Well, I think there's a possible --
21 am I on? Can you hear me?

22 DR. HOSTETLER: Yeah, you're on, John.

23 DR. DeSESSO: Okay. Yeah, I think one of the
24 things that could happen is that it's a possibility that
25 materials could be used and spritzed and allow aerosols to

1 form. And the aerosols might be a vehicle by way of which
2 some of this could get into the -- into the animals.

3 But in terms of the way these disinfectants are
4 used and according to the directions, these -- you know,
5 LabSand is one of the substances they use. It's to be
6 used and left on the material on the hard surface and left
7 alone, because that's how it's worked -- this
8 antimicrobial work. At that point, there should be very
9 little --

10 PANEL MEMBER FIEHN: So you say that
11 these products are never sprayed --

12 DR. DeSESSO: Yeah, they -- pardon me?

13 PANEL MEMBER FIEHN: So they should not be
14 allowed to be sprayed in a spray?

15 DR. DeSESSO: No. There's the animal -- there's
16 the animal one.

17 PANEL MEMBER FIEHN: And that the spray means
18 generating aerosols, right? Is that correct?

19 DR. DeSESSO: You're saying --

20 DR. HOSTETLER: Yeah, let me comment on that,
21 John.

22 Dr. Fiehn, you're exactly correct. They're
23 approved for use in sprays. Typically, those pump sprays
24 have coarse particle sizes that are not inhalable.

25 PANEL MEMBER FIEHN: So they are in the air, but

1 cannot be inhaled?

2 DR. HOSTETLER: -- (inaudible) more than 50 or a
3 hundred nanometers cannot get into --

4 DR. DeSESSO: They're not respirable.

5 PANEL MEMBER FIEHN: So they are sprayed in
6 aerosols, but they cannot be inhaled.

7 DR. HOSTETLER: They're typically, if they're
8 high enough particles, they're trapped in the upper
9 respiratory tract. They don't get to the lungs.

10 DR. DeSESSO: And then they -- and then they
11 dissolve --

12 PANEL MEMBER FIEHN: So when they are either
13 through dust or in the air, and we are exposed, so then we
14 should not see it in the blood, is that correct? Is that
15 the understanding now? So how --

16 DR. HOSTETLER: No, I'm saying that --

17 PANEL MEMBER FIEHN: -- come where we have two
18 different reports today that unequivocally been shown to
19 be detected in very frequent in the human population, when
20 there's, you know, not supposed to be in contact normally.

21 DR. HOSTETLER: We're not saying that there's
22 zero exposure. Nothing in my presentation said anything
23 about zero exposure. My presentation said that when
24 exposures in animals identify safe levels, in estimates of
25 human exposure, which can occur, through dietary exposure,

1 through hand to mouth, result in potential exposures that
2 are below levels that should cause concern.

3 Ten nanomolar in plasma that may be bound to
4 plasma proteins, I would be interested to know what toxic
5 endpoint is being driven by a ten nanomolar concentration
6 of a quaternary ammonium compound.

7 PANEL MEMBER FIEHN: So we have data showing that
8 these can be incorporated into mitochondrial membranes and
9 disrupting the mitochondrial electron transport chain. So
10 that's data from UC Davis that we have not published yet,
11 but that would be a way how things can be harmful. So,
12 you know, we're not saying -- this is not a discussion
13 here about disallowing any use. It's about, you know, do
14 we want to know more. That's, I think, what our
15 California EPA is doing here, and why we are discussing
16 this.

17 And with that, I'm shutting up.

18 DR. HOSTETLER: We're not telling you that you
19 shouldn't be monitoring. We're telling you that what's
20 the safety data when we know humans are exposed at low
21 concentrations of what the data sets tells us. That is
22 the --

23 PANEL MEMBER FIEHN: No, no, no, no. You said
24 you have -- you have animal data. You have reviewed
25 animal data, not human data. That's a different thing,

1 because before we also heard that rats are not humans and
2 that rats have a quite different turnover than humans.
3 And I'm sorry, you know, we don't have data on humans.

4 CHAIRPERSON SCHWARZMAN: I would add just one
5 final point to this discussion of particle size, since
6 there's a question of exposure here, is to return to my
7 point about foggers, that sprays, as Keith said or was it
8 John, I'm not sure, you know, produce similar from like 40
9 to 60, I think micron size particles. But foggers, I've
10 seen evidence about one to ten microns and those are
11 surely respirable, so --

12 DR. HOSTETLER: I believe everyone using foggers
13 have -- are directed to use personal protective equipment.

14 CHAIRPERSON SCHWARZMAN: But what does that say
15 about the air that remains in place and -- in terms of
16 people who come through subsequently and all of that. So
17 I just think that's a -- as long as we're talking about
18 spraying, we should make sure we're covering all of the
19 particle sizes that can be produced.

20 Other questions?

21 Tom.

22 PANEL MEMBER MCKONE: Yeah. I just -- so I want
23 to kind of go back to the point that came up at the end,
24 which is, you know, the -- you reviewed a lot of studies
25 and scored them, and focused on the, I forgot how many,

1 the ones that scored low and the -- that suggested a
2 premise of -- or a hypothesis of toxicity. And then you
3 worked very effectively to at least provide evidence to
4 refute those studies. And then went to the other studies
5 that suggested safety, and made the argument, well, those
6 are robust and good.

7 But what concerns me in public health, we always
8 work the other way around. We don't really start with a
9 hypothesis that everything is safe or work against it. We
10 really want to try to refute the hypothesis of safety,
11 right? We want that to be the robust finding. And I
12 guess what concerns me is the hypothesis or the statement
13 we're working toward is safety for humans. And I don't
14 think you can have a robust conclusion that something is
15 high competence of safety for humans, if there's no human
16 data.

17 The reverse of that is that if something is toxic
18 to animals, it isn't necessarily true that it's toxic to
19 humans, but it means that you're less comfortable making
20 the assumption or operating on that premise, right? We
21 always err on the side of trying to refute safety where it
22 makes sense. So I think, you know, what we're stuck with
23 still is the absence of human data. We're still stuck
24 with that. I mean, we're stuck with the problem we would
25 have if it were toxic in animals, but no human data, we

1 would say, well, it -- I mean, there's a lot of substances
2 that are toxic to animals, but they're not toxic to
3 humans, but we kind of start with that premise.

4 The other way around is something that's safe for
5 animals is not always necessarily safe for humans. We
6 might move with that as a working hypothesis, but would
7 always struggle to learn more about it and not be happy
8 with a very small number of studies in a few animals that
9 seem to be good studies, but could always tomorrow be
10 refuted by the study that isn't true, especially when
11 there's some poorly done studies that suggest that the
12 operating hypothesis is wrong. Sorry, if it was a bit
13 winded, but that's kind of -- I'm a little concerned that
14 this is (inaudible) --

15 DR. HOSTETLER: I don't know if there was a
16 question or not exactly, but I would say this. The
17 requirements that regulatory agencies, U.S. EPA, and ECHA,
18 and others around the world make a very high bar for what
19 data has to be conducted in order for them to evaluate
20 that data and make a judgment on human risk assessment.

21 And that's what -- that's -- we're not asked to
22 go out and test in humans. Some of the exposure data that
23 this industry has paid for has been done in humans. There
24 have been human exposure studies that pass human HSRBs.
25 That data is publicly available.

1 So we're not trying to dodge. We're not trying
2 to say that there isn't exposure. We're saying that we
3 agree with the regulatory authorities' opinions, based on
4 sound risk assessment properties that based on the data
5 that exists, these substances are safe for use as
6 directed.

7 PANEL MEMBER MCKONE: Right. A lot of these
8 agencies have made mistakes in the past. So, I mean -- I
9 mean, the other question I have is we're asked -- we're
10 looking at a class of 800 chemicals, and the studies that
11 you're talking about are for a couple that are in use as
12 disinfectants, so I think they're a bit different. And
13 I -- even if we were fully at good data for safety of two
14 or three compounds, I don't know if it says enough about a
15 class of 800.

16 CHAIRPERSON SCHWARZMAN: I want to offer Amina
17 the chance to address a question that was raised about her
18 work. And then, Libin, I see that you're here.

19 DR. SALAMOVA: Yes. Thank you. It's a comment
20 on the first question about inhalation and the air
21 concentrations. Yes, we did deploy the air samples for
22 three weeks, but that's a standard -- four weeks actually,
23 but that's a standard procedure for indoor air sampling
24 using PUF samplers. And we do it for other compound
25 groups as well and we never see these high levels

1 actually. These were probably among the highest levels
2 for indoor contaminants that we've seen in our analysis.
3 And we usually -- we work with different chemical groups,
4 including different types of flame retardants, PCBs,
5 pesticides, et cetera. So that's a comment on the
6 inhalation. So some of these compounds are volatile,
7 especially ATMACs, because they also have lower
8 octanol-air partition coefficients.

9 And in regard to that, I did not present the
10 data, but we do have some preliminary data also in outdoor
11 air. And those samples were actually collected using the
12 high-volume samplers around for just one day. And in
13 those sampling, we are able to differentiate between
14 particle phase and vapor phase contaminants. And again,
15 we see an interesting pattern, where we see higher levels
16 of more volatile ATMACs in vapor phase collected with XAD
17 absorbance and higher levels of BACs in particle phase
18 collected with filters.

19 The levels for outdoor air are lower than for
20 indoor air, but that's a trend we see for almost all
21 contaminants that we work with. But again, the levels are
22 higher than for the more known contaminants, like again
23 flame retardants, PCBs, and some pesticides. So just
24 wanted to comment on the air data.

25 CHAIRPERSON SCHWARZMAN: Thank you. We just --

1 have just a couple minutes left, Libin, if you have
2 something quick.

3 DR. XU: So quickly, I want to -- one thing to
4 clarify on the neural tube defects study, I read those
5 papers. The ambient exposure is basically the facility
6 using the QACs disinfectants. It doesn't necessarily
7 they're applying directly on the cage, but it could be
8 exposed through air, through, you know, animal facility;
9 you know, working people. I think there are routes they
10 can be again exposed to those compounds, because they
11 exist in a facility.

12 So another comment I want to have is that I do
13 not agree that all the federal agencies think they are
14 safe. In fact, FDA, a few years back, has actually called
15 for additional safety data for anti-septic
16 over-the-counter products. Here's their language. I'm
17 looking from their announcement in 2016. This says,
18 "Since the FDA began review of topical antiseptic in
19 1970s, many things have changed, including the frequency
20 of use of some of these products, new technology that can
21 detect them, and FDA safety standards, and significant
22 knowledge about impact of widespread antiseptic usage.
23 And FDA is particularly interested in gathering additional
24 data on the long-term safety of daily repeated exposure to
25 these ingredients by consumers and on the use of these

1 products by certain population, including pregnant women
2 and children, and for which topical absorption of active
3 ingredients may be important".

4 So I just want to clarify this concept, because,
5 you know, even though in older documents, by, you know,
6 EPA, that was can be traced back 2006. But I think
7 federal agencies now, you know, becoming more aware of the
8 additional data that's needed for these kind of compounds.

9 CHAIRPERSON SCHWARZMAN: Thank you.

10 We need to move on now, but I want anyone who has
11 additional questions or comments, we have significant time
12 for discussion and please do keep those and I can get to
13 you first in the -- when it's -- when it's next time for
14 discussion. I see Veena had it -- a question and I will
15 also check in -- Jenny also. So I will put you on a list
16 and then I will also check in with Elizabeth, if there was
17 anyone else waiting to speak. So we'll have plenty of
18 chance to get to your comments. Please do remember them.

19 Thank you very much to our presenters, John and
20 Keith, for your presentation. And we're going to move on
21 to our final session of the day, that is considering QACs
22 as potential priority chemicals. I want to reintroduce
23 Shoba Iyer, who's our staff toxicologist in the Safer
24 Alternatives Assessment and Biomonitoring Section in
25 OEHHA. Shoba will present OEHHA's document on QACs as

1 potential priority chemicals for Biomonitoring California
2 and she'll outline the options for the Panel. And then
3 we'll have a chance for questions, a chance -- Panel
4 questions, that is, a chance for public comment and then
5 the Panel will deliberate.

6 So I'll turn it over to you Shoba.

7 (Thereupon a slide presentation.)

8 DR. IYER: Great. Thank you, Meg. Can you just
9 confirm for me that you can see my slides in full-screen
10 mode again?

11 CHAIRPERSON SCHWARZMAN: Yes, all is good.

12 DR. IYER: Great. Thank you.

13 Okay. My presentation now will be an overview of
14 OEHHA's potential priority chemicals document on QACs, as
15 well as other information relevant to the criteria for
16 potential priority chemicals.

17 The potential priority chemicals document builds
18 on OEHHA's 2020 potential designated chemicals document
19 and OEHHA's 2019 preliminary screening document on QACs.
20 The PDFs of all three of these documents are posted on the
21 Biomonitoring California website on the page for today's
22 meeting.

23 --o0o--

24 DR. IYER: Here, I'm showing you again the
25 criteria for recommending priority chemicals. The SGP can

1 recommend priority chemicals for biomonitoring in
2 California from the list of designated chemicals.

3 These criteria are: the degree of potential
4 exposure; the likelihood of a chemical being a carcinogen
5 or toxicant; the limits of laboratory detection; and other
6 criteria that the Panel may agree to. And I'll remind you
7 once more that these criteria are not joined by the term
8 "and", and the Panel is not required to specify other
9 criteria.

10 Now, we heard about limits of laboratory
11 detection for selected QACs in Libin's and Amina's
12 presentations today. So in this presentation, I'll cover
13 some information relevant to the first two criteria shown
14 here.

15 --o0o--

16 DR. IYER: There is significant potential for
17 exposure to QACs. I'll cover some relevant highlights on
18 this slide. Of the QACs I reviewed, the national
19 production volume for 20 of them was over 100,000 pounds
20 in 2015. Of these, 11 had production volume of over one
21 million pounds.

22 QACs are used in a wide variety of applications,
23 including as antimicrobials and disinfectants, as we've
24 heard. Approximately, 46 percent of the disinfectants for
25 coronavirus on U.S. EPA's List N include QAC active

1 ingredients.

2 Detections have been reported in indoor air and
3 dust samples. And we heard about these recent findings an
4 in Amina's presentation earlier today. QACs have been
5 detected in other environmental media, including sediment,
6 sludge, and wastewater treatment plant influent and
7 effluent. And we heard about environmental detections in
8 Bill's presentation this morning, including in sediment
9 samples collected from the San Francisco Bay.

10 According to a summary of market research
11 available online, the global QAC's market is forecasted to
12 grow by over 60 percent from 2019 to 2027.

13 --o0o--

14 DR. IYER: There are possible health concerns
15 associated with members of this chemical class. I'll
16 cover some highlights here. And most of this information
17 is drawn from OEHHA's 2020 potential designated chemicals
18 document.

19 Some QACs are linked with dermal irritation. One
20 example is quaternium 15, which is used as a biocide,
21 preservative, and surfactant in cosmetics and personal
22 care products and in cleaning products. It's a
23 formaldehyde-releasing preservative. Some QACs are linked
24 with respiratory effects. The Association of Occupational
25 and Environmental Clinics has identified some BACs, and

1 one DADMAC as asthmagens, which they define as a substance
2 known to cause asthma, which is acquired de novo from a
3 workplace exposure.

4 Studies conducted among hospital staff, such as
5 nurses and housekeeping staff, have reported that exposure
6 to QAC-containing disinfectants and cleaning products can
7 be linked with work-related asthma. This topic was
8 covered at the SGP meeting in March 2020. And in today's
9 meeting, we've had some discussions about a potential for
10 increased exposure to these subpopulations.

11 In addition, respiratory effects, such as
12 pulmonary inflammation, have been observed in studies of
13 rodents exposed to QACs. Diquat dibromide and paraquat
14 dichloride are quaternary ammonium herbicides and
15 exposures to these QACs have been implicated in
16 neurodegenerative diseases, such as Parkinson's disease.

17 In rodents exposed to some QACs, reproductive and
18 developmental effects, such as decreased fertility and
19 neural tube defects have been reported. We heard a
20 presentation on this topic from Terry Hrubec of the Edward
21 Via College of Osteopathic Medicine in Virginia at the
22 March 2020 SGP meeting. Other literature on this topic
23 was summarized and/or cited in the potential designated
24 and potential priority chemical documents.

25 Some immunological effects have been reported in

1 in vivo studies, such as alterations in immune response
2 genes and in antibody production in mice exposed to some
3 QACs. Altered cellular function, such as inhibition of
4 mitochondrial respiration has been reported in in vitro
5 studies of some QACs. And I'll note that plasma membrane
6 disruption is the general mechanism of action that makes
7 QACs effective as preservatives, disinfectants, and
8 biocides. So it makes sense that the mitochondrial
9 membrane can also be impacted.

10 In vitro studies of benzalkonium chlorides have
11 found inhibition of cholesterol biosynthesis and altered
12 lipid homeostasis. And we heard about some of this
13 research in Libin Xu's presentation at the March 2020 SGP
14 meeting.

15 --o0o--

16 DR. IYER: There are some publications about the
17 potential for antimicrobial resistance to QACs. Shown
18 here are screenshots of some of the work that's been
19 published on this topic within the last year. We included
20 these publications in the references list of the potential
21 priority chemicals document. And we touched on this topic
22 in the potential designated chemicals document.

23 --o0o--

24 DR. IYER: Here again are the Panel's options
25 following their deliberations this afternoon. The Panel

1 can recommend the class quaternary ammonium compounds or
2 QACs be added to the list of priority chemicals, defer
3 consideration of QACs, or decide against adding QACs as
4 priority chemicals.

5 --o0o--

6 DR. IYER: And I'm happy to take questions at
7 this time.

8 CHAIRPERSON SCHWARZMAN: Thank you so much,
9 Shoba. Sorry, finding all the appropriate buttons.

10 DR. IYER: Same here.

11 CHAIRPERSON SCHWARZMAN: We have time for -- we
12 have just a few minutes here for clarifying questions only
13 from the Panel. And we'll have substantive -- time for
14 substantive discussion. So clarifying questions for Shoba
15 only now, please?

16 Anything about the process of designating or
17 considering these chemicals as priority?

18 Carl.

19 PANEL MEMBER CRANOR: I'd just like clarification
20 on the listing as a priority chemical. I take it that
21 that does not commit anybody to do anything, but it opens
22 up the possibility of biomonitoring in case problems are
23 seen. So in some sense, this is not a -- I don't want to
24 understate it. It's not a particularly threatening thing.
25 It just gives the Biomonitoring Committee, and maybe some

1 other agencies, the possibilities of doing something with
2 it if the data turns out. Is that -- is that on -- is
3 that correct?

4 DR. IYER: Well, the Panel recommended that QACs
5 be added to the list of designated chemicals last March,
6 which means that they could be biomonitoring in a
7 Biomonitoring California study from being on that list.

8 Here the Panel has an opportunity to discuss
9 recommending QACs as priority chemicals for biomonitoring.

10 PANEL MEMBER CRANOR: Thank you.

11 CHAIRPERSON SCHWARZMAN: We actually do have a
12 couple more minutes, because Shoba was quick. So, Tom, go
13 ahead.

14 PANEL MEMBER MCKONE: Well, I just want to add
15 to -- to our -- as I recall from previous discussions, we
16 wanted the option to make these priority compounds,
17 because we got so much information on the rapidly
18 increasing volume of production and use in our previous
19 meeting. So that was one of the issues that motivated not
20 letting them just sit in the background as compounds that
21 could be brought forward at some point, but that there was
22 sufficient evidence of rising use that it would be a good
23 time -- it would be a priority, because this is similar to
24 what we did to other classes of compounds, like the cyclic
25 siloxanes, where we said, oh, we want to get on top of

1 things when the -- when the production levels are rising,
2 not after they peak, and then we start seeing them show up
3 at levels that are a bit surprising.

4 CHAIRPERSON SCHWARZMAN: Any other questions for
5 Shoba?

6 If there's no further questions, then we can open
7 to public comment. We have about 15 minutes for public
8 comment. And I'll just go straight to Elizabeth to see if
9 there's anything submitted by email or by the GoToMeeting
10 webinar interface.

11 DR. MARDER: There are no questions and no
12 requests to speak at this time on Shoba's presentation.

13 CHAIRPERSON SCHWARZMAN: Maybe I could just get
14 clarification from Sara. This is -- there's an open
15 public comment that occurs after -- at the end of the day.
16 But Sara was this public comment meant to be restricted to
17 Shoba's presentation?

18 MS. HOOVER: No. This is not --

19 CHAIRPERSON SCHWARZMAN: Yeah. My understanding
20 is --

21 Okay. Thank you. Sorry to interrupt. My
22 understanding was this was meant to be more general.

23 MS. HOOVER: That's right. This is public
24 comment on QACs as potential priority chemicals generally.
25 So it's the public's opportunity to comment on anything

1 they heard earlier, anything they want the Panel to hear
2 before you guys discuss your recommendation. Also,
3 depending on how much time you use in your discussion, the
4 public could still potentially chime in during that
5 discussion. But basically now is the opportunity, if
6 anybody, either speakers, or the audience, or people
7 listening from afar want to chime in, this is the time to
8 do it.

9 DR. MARDER: And we do have that unanswered
10 comment from the last session, Sara, if I made read it
11 now, while we're waiting for other --

12 MS. HOOVER: Sure. Yeah. I think it's
13 already -- I think we decided it was already covered,
14 but -- if I'm not mistaken, but go ahead.

15 DR. MARDER: Okay. Well, this was related to a
16 question that was answered, and I think maybe it was fully
17 answered. By Emily Bryson from DPR asked, in the -- and
18 following up to the discussion that was the brief
19 discussion Meg had about the QACs being applied via
20 foggers, how would the use of a fogger affect the particle
21 size and inhalation potential? And so that may have been
22 sufficiently addressed, but that was the last remaining
23 question we had.

24 CHAIRPERSON SCHWARZMAN: Thank you for raising
25 that. Just because I said it, I'll sort of mention again

1 that the literature that I saw was that where sprayers can
2 produce -- tend to produce larger particle sizes like 40
3 to 60 microns, that fogging tends to produce particles
4 that are one to ten microns, which are, you know, in the
5 respirable range. But I would also welcome anybody else
6 weighing in on that point, since it's just my looking at
7 the literature not having done that work myself.

8 If there are speakers from other points -- parts
9 of the day who have done that related exposure work and
10 want to weigh in.

11 Otherwise, let's see if there's any additional
12 public comments which is open now for, as Sara said, any
13 topic from the day that you want the Program or the Panel
14 to hear before our deliberations and vote on the --
15 whether to recommend prioritizing QACs.

16 I'll also just mention that Biomonitoring
17 California received three written public comments last
18 week. Those have been circulated to SGP members and
19 they're also posted on the meeting page for this meeting.
20 One was from the ADBAC Issues Steering Committee, which
21 submitted comments regarding the use of analytical
22 methodology for measuring antimicrobial QACs in
23 environmental and biomonitoring samples.

24 And there were two comments from Women's Voices
25 for the Earth. One was about some special vulnerable

1 populations that may have excessive exposures to QACs
2 during COVID-19 and beyond. And the other was comments on
3 the U.S. FDA's review of ADBAC and the identification of
4 data gaps in the body of scientific literature on the
5 safety of QACs. And I think that was actually referenced
6 by the Libin earlier.

7 So just to highlight that those comments were
8 received by the Panel and they're also posted for public
9 viewing on the website for today's meeting.

10 Elizabeth, any other --

11 DR. MARDER: We have --

12 CHAIRPERSON SCHWARZMAN: -- public comments.

13 DR. MARDER: We have no public comments via email
14 or via GoToWebinar at this time and we have no requests to
15 speak at this time.

16 CHAIRPERSON SCHWARZMAN: In that case, I would
17 suggest that we proceed to Panel deliberation and
18 recommendation. And I want to turn first to the Panelists
19 who were -- had something to say earlier that we didn't
20 have time for, and that's first Veena and then Jenny.

21 PANEL MEMBER SINGLA: Thank you. And Shoba
22 actually mentioned this in her presentation as well. You
23 know, in a previous discussion, several folks spoke about
24 the lack of human data. And I had just wanted to comment
25 on the strong evidence we do have on QACs and work-related

1 asthma, as one very relevant data set there.

2 CHAIRPERSON SCHWARZMAN: Great. Thank you,
3 Jenny.

4 PANEL MEMBER QUINTANA: Can you hear me?

5 CHAIRPERSON SCHWARZMAN: (Nods head.)

6 PANEL MEMBER QUINTANA: Great. I'd like to thank
7 the speakers for all the literature they -- review that
8 they did on these compounds. And I had a couple questions
9 about the toxicology and risk assessment presentation,
10 just a couple clarifying questions.

11 One was the study in the animal cages where
12 they're using the compounds for like ambient cleaning in
13 the room. What was the birth -- what was the endpoint? I
14 don't believe it was on the slide. I went back and
15 looked. What was the reproductive endpoint for that
16 study? Was it also neural tube effects or what was the
17 reproductive endpoint that they claimed in this study?
18 That was one question.

19 And I'll ask my second question too, if you're
20 going back to the slides.

21 Sorry, go ahead?

22 DR. HOSTETLER: Can I answer that question?

23 (Multiple voices at once.)

24 DR. HOSTETLER: Or I don't know if John is still
25 on or not.

1 DR. DeSESSO: Yeah, I'm still here.

2 DR. HOSTETLER: That specific viewpoint was the
3 publication that was titled Ambient Exposure Causes Neural
4 Tube Defects. So the endpoint was, in fact, neural tube
5 defects, which was -- using that term correctly was
6 incorrect.

7 PANEL MEMBER QUINTANA: So there was no other
8 reproductive endpoint that was assessed in that study in
9 the animal cages? I just want to make sure I'm clear
10 about that.

11 DR. HOSTETLER: John -- I don't know if John is
12 still on or not. John (inaudible) --

13 DR. DeSESSO: Can you hear me?

14 DR. HOSTETLER: Yeah.

15 DR. DeSESSO: Okay. So the -- there were some --
16 the data showed an increase in resorptions in the highest
17 dose, which was 120 milligrams per kilogram, according to
18 what their calculations were. The -- it's -- they were --
19 I think they were -- I think they were -- if I recall,
20 they were around 12 percent and the controls were
21 something like around six percent so they weren't -- it
22 wasn't dramatically increased, but it was increased.

23 PANEL MEMBER QUINTANA: Thank you. And then the
24 other question was you were saying for ingestion -- or for
25 exposure to a toddler. And I just wanted to clarify, was

1 that done on ingestion of dust only, rather than any
2 dermal or inhalation component? As some kind of more
3 recent assessment of risks from dust have looked at
4 exposures to the dust by ingestion, but also by the
5 suspension of particles, and inhalation, and dermal
6 exposure and I'm just -- and partitioning into air. And
7 I'm just curious if that was based on ingestion of dust.

8 DR. HOSTETLER: In my slide where I reference Dr.
9 Salamova's work, that was directly from her paper, which
10 talked about dust ingestions and calculated exposure in
11 toddlers on a milligram per kilogram basis. I do refer
12 you to the workplan with EPA where they'd look at all
13 kinds of age groups and potential indirect exposure from
14 indirect food contact, and therefore dietary exposure.
15 And those have EPA's conservative modeling in what those
16 dietary ingestions exposures are estimated to be.

17 PANEL MEMBER QUINTANA: Thanks. My last question
18 was, I know you reviewed a lot of literature. Did you
19 come across any effects on the microbiome of animals?

20 DR. HOSTETLER: There's some of the chronic
21 studies where animals were fed for their entire life,
22 particularly in dog studies, because their microbiome was
23 wiped out, then they got gastrointestinal distress and
24 diarrhea from that. And that's not unexpected from an
25 antimicrobial being given orally.

1 PANEL MEMBER QUINTANA: Okay. Thank you very
2 much for clarifying that.

3 DR. HOSTETLER: You're welcome.

4 CHAIRPERSON SCHWARZMAN: Libin.

5 DR. XU: So I want to respond to Jenny's question
6 on the -- I guess the study by Terry Hrubec on the neural
7 tube and also some of the earlier study actually on the
8 reproduction. So I think in 2014, they published a paper
9 where the study carried on like a six-month breeding
10 study, like with exposure to QAC. They found decreased
11 reproduction, decreased fertility, and fecundity of -- in
12 mice. So that was before the neural tube study. I think
13 the neural tube study like was a few years later. They
14 looked at neural tube defects.

15 And I think it's -- or the other presenter also
16 mentioned even if there's no neural tube defects, there --
17 at the later time point, but there's neural tube
18 development delay, right? So there's -- apparently,
19 there's that difference happening there.

20 So -- and another thing, you also asked about a
21 microbiome. We did a study to look at those. I think my
22 student has presented it at a couple of occasions. We do
23 see some alteration in microbiome. Exactly how does that
24 affect say in the -- over a longer term human health, we
25 don't know yet, but the microbiome were changed with

1 exposure. Yeah, that's from one of the study where we
2 actually analyzed the QACs and their metabolites in the
3 feces. Yep.

4 DR. HOSTETLER: Megan, there was -- if I could,
5 there was a point where Libin was talking about the FDA's
6 call for more data and I was going respond to that just
7 when the comment period ended. So is it appropriate, can
8 I make a comment on the FDA's request for more data?

9 CHAIRPERSON SCHWARZMAN: Sure. If that was
10 intended as public comment, and then we'll move on to
11 panel deliberation.

12 DR. HOSTETLER: Certainly public comment. FDA
13 has, in fact, had quaternary ammonium compounds as
14 antiseptic uses approved for over-the-counter use since
15 the 1970s. QACs fall in a really unique position in that
16 they were recommended by FDA along with isopropanol and
17 ethanol during the pandemic, because of the public health
18 crisis of people needing to sanitize their hands.

19 FDA has asked for new uses. These are new uses.
20 They have asked for more data. There are -- the industry
21 is cooperating and they've actually done some exaggerated
22 hand wash studies 30 times a day for 30 seconds and looked
23 at with what happens. And at the moment, preliminary data
24 suggestions concentrations are below any levels that the
25 Center for Evaluation of -- Center for Drug Evaluation has

1 no concerns with the current data that's in hand. It's
2 being evaluated. It's a new use. FDA evaluates the new
3 uses differently than EPA, so that work is ongoing.

4 CHAIRPERSON SCHWARZMAN: Thank you for that. I
5 would note as a physician, I can say that 30 times of hand
6 washing a day is not exaggerated. If you're washing your
7 hands before and after each patient and before and after
8 meals and all of that, that is certainly not outside the
9 realm of what you do on a daily basis.

10 DR. HOSTETLER: So noted.

11 CHAIRPERSON SCHWARZMAN: Just in terms of terming
12 that study.

13 All right. Thank you all for your contributions.
14 I just -- as we move into the Panel deliberation, one note
15 is that José Suárez won't be voting on this item, because
16 he had to miss some parts of the presentations on QACs,
17 but he will still participate in the discussion.

18 So I want to open it up to Panel members to
19 discuss your thoughts and input to the Program on the
20 potential to designate QACs as potential priority
21 chemicals, if anyone wants to start us off.

22 Go ahead, Veena.

23 PANEL MEMBER SINGLA: From my perspective, I
24 think the QACs do meet several of the criteria for
25 consideration as priority chemicals. The degree of

1 potential exposures we heard about today, some concerning
2 data on widespread exposures there, and on toxicity. As
3 well, we heard about in a previous Panel meeting, the
4 work-related asthma data, as well as the emerging concerns
5 on the reproductive and developmental toxicity.

6 CHAIRPERSON SCHWARZMAN: Thank you.

7 Jenny.

8 PANEL MEMBER QUINTANA: Hi. I much agree with
9 what Dr. Singla said and also to say that we started out
10 today by talking about focus for our biomonitoring efforts
11 in vulnerable populations and special populations in
12 California were among those. And I think the -- to me,
13 the potential for occupational exposures, especially among
14 some of the most vulnerable workers, such as cleaners,
15 makes it like a very compelling story to me on top of the
16 other considerations. And I don't see the use going down
17 any time soon. I -- with the need to maintain safety in
18 new variants coming up, I just feel the potential for
19 exposure perhaps is only going to increase, as people get
20 out and about more to cleaned environments.

21 CHAIRPERSON SCHWARZMAN: I had -- Carl, I'll get
22 you next -- just a similar thought to add on to what Jenny
23 just said, that I see a lot of overlap between the people
24 who are considered essential workers during the pandemic,
25 and those who are potentially more highly exposed because

1 of increased disinfection use. And not only -- not just
2 because they're -- I mean, it's partly because they're out
3 there working in settings that require, or at least are
4 recommended, to have increased disinfectant use.

5 And I agree with Jenny that, you know, we could
6 see this as a very temporary spike. And I think that's
7 less likely than -- that some of these practices, or to
8 some degree, they will become the norm, especially when
9 you see what happens to -- sort of we're seeing what
10 happens to influenza cases and influenza deaths as a
11 result of all of the, you know, masking, and distancing,
12 and potentially also disinfecting practices that we've
13 adopted during the pandemic. And it's reasonable to
14 conclude that some of those practices would be recommended
15 to continue, even in the hopeful event that we could get
16 the current pandemic under better control. So just to add
17 to what Jenny was contributing.

18 Carl.

19 PANEL MEMBER CRANOR: Yeah. Yes. Thank you. I
20 agree with Jenny and Megan. And I appreciated Tom's
21 comment earlier about staying ahead of the curve, because
22 we know that there are a lot of unfortunate substitutions
23 that occur. And it's very difficult for public health
24 protections to keep up with the rapidity with which new
25 chemical substances are created and used for similar

1 purposes, and nobody has any idea how safe they are. And
2 so it seems to me that all of this is an argument for
3 making it a priority chemical.

4 CHAIRPERSON SCHWARZMAN: Tom and then Eunha.

5 PANEL MEMBER MCKONE: So I summarized it. I'm
6 inclined to, you know, agree, but state this a little
7 differently. I think, you know, with regard to exposure
8 and evidence of widespread exposure, I think the
9 information we saw is significant and compelling for our
10 case. I think in terms of the ability to biomonitor what
11 we've seen is compelling -- you know, more than sufficient
12 and compelling to go forward. And the only thing we might
13 say that although the toxicity may be incomplete and not
14 compelling, it is at a point where there are enough issues
15 of concern to warrant action now.

16 And it's kind of, you know, what Carl said about
17 my point earlier, and to extend that is when there is
18 evidence of concern -- or sufficient evidence to raise
19 concern, then it's in the best interest of public health
20 to collect the data we need to understand that better.

21 And that's one of the things we can do by making
22 this a priority chemical. We will learn more, especially
23 about highly exposed groups. And we will learn more to be
24 able to sort them out and to see who's showing symptoms or
25 not showing symptoms.

1 But it's not the time to say, well, the evidence
2 is not sufficiently compelling to declare this toxic.
3 Well, the evidence is sufficiently compelling to say we
4 could have a problem for us to move forward on the tools
5 to understand the nature of that problem.

6 CHAIRPERSON SCHWARZMAN: Eunha.

7 PANEL MEMBER HOH: I definitely agree with all
8 the previous Panel members' opinions. I think how we have
9 plenty of examples of chemicals that, you know, it came to
10 so late, once it so spread out in the environment, and
11 then so many exposures are happened already. And then
12 this -- you know, we wait too long and it's so hard to
13 remediate, and clean up, and anything like that
14 afterwards, or even we completely ignore it, you know, the
15 high exposure groups.

16 I think it's very important time and it's
17 critical. Because the public's understanding the use of
18 disinfectants, because of COVID-19, it's going to be --
19 it's not going to disappear. It's going to be increasing
20 and increasing, especially for the very young age
21 children's exposure. It's going to be more -- it seems
22 like more concerning, not only those occupational
23 high-exposure groups. So I think it's very important to
24 show the evidence. And a lot of environmental fates and
25 what we observe and based on, you know, I mean, it's

1 convenient and also it's very important, based on the
2 chemical properties, and physical properties, you know,
3 that could predict, you know, how those chemicals behave.

4 But a lot of times, the chemicals have a lot
5 more -- their behavior is a lot more complex. So we
6 cannot -- there is so many examples that we say, like, oh,
7 these chemicals are not going to be persistent. These
8 chemicals are not going to be in the air. There's so many
9 examples we went through. But in the end, they were
10 absolutely in the air. They're absolutely, you know,
11 persistent. So I think it's a very important time to -- I
12 think we have to include them. I mean, we have to
13 consider them. Yep.

14 CHAIRPERSON SCHWARZMAN: José, please.

15 PANEL MEMBER SUÁREZ: Yeah. Thank you. Thank
16 you for the time. Just to remind that I'm not going to be
17 voting on this. Nonetheless, hear are my comments. While
18 looking at the criteria for recommending priority
19 chemicals that were listed there by Shoba, slide two and
20 then earlier, it seems like for the limits of laboratory
21 detection, we have ample evidence that these can be
22 detected in blood, and stool, in urine. And so from that
23 point, we have met that criteria.

24 That it would be for potential exposure, I think
25 that's pretty obvious. That's been very well documented

1 today. And then likelihood of a chemical being a
2 carcinogen or a toxicant, here is, you know, a little bit
3 of the great part of it, in the sense that, the
4 conclusion, at least based on my understanding, is that
5 there is, to some extent, insufficient data, really to
6 show how toxic these chemicals are. But that doesn't mean
7 that they're -- the lack of data doesn't mean that they're
8 safe. It just means that there's a lack of sufficient
9 evidence for this.

10 So thinking of it more from a precautionary
11 principle type, even though this is way past that point,
12 right? These chemicals were released back in the 30s and
13 40s. We did reach -- I truly believe that we do need to
14 understand what's happening with this piece, especially
15 given the large potential exposure, and this very
16 substantial increase in exposure that we've seen,
17 especially this past year. And this is likely -- this
18 increase in exposure is going to be probably steady, if
19 not increasing over the next few years. So from that --
20 from those points of view, I would be more inclined --
21 even though I'm not voting, more inclined towards
22 designating these as priority chemicals.

23 CHAIRPERSON SCHWARZMAN: Thank you for that José.
24 You're, by all means, allowed to make whatever comments
25 and contribute to the discussion as you like.

1 Oliver.

2 PANEL MEMBER FIEHN: Yes. So I was really
3 intrigued today by seeing these two reports today that we
4 have frequent detection of these chemicals in various
5 human biomes. Before when we started this a year ago, it
6 was said that, you know, the ideal -- the overwhelming
7 confidence of people in the field was that these would not
8 be -- not be absorbed. And this is refuted. So this is
9 very clearly not the case, because we can see it in
10 humans.

11 Now, we don't know the way of exposure. We don't
12 know how they get into people, not for sure. There are
13 multiple ways they could be done. But this is -- was not
14 tested on people with high exposures. So those were on
15 regular students and regular folks in households, not even
16 on people with high exposures. So that clearly justifies
17 that we need to be knowing about the population at large a
18 priority, because also the concentrations that were
19 measured were higher, sometimes a lot higher, than for
20 other chemicals that we otherwise monitor, right?

21 At the same time, the chemical structure is made
22 to be harmful to membranes. That's the idea, right?
23 So the last time I checked, we have membranes. So I
24 understand that nobody wants that these chemicals be
25 eliminated from hospitals, right? Everybody understands

1 the direct health effect of pathogens and that we need to
2 be able to have clean rooms, like in surgery in a
3 hospital. Yeah, absolutely. You don't want, you know,
4 infectious pathogens there. But that was the idea and
5 not, you know, 30 times hand washing, with that material,
6 and spraying, and residential areas.

7 And children cleaning classrooms, you know, that
8 was not the idea of these chemicals. That's why not
9 were -- you know, they were meant for.

10 And I -- you know, in order to have a risk-use
11 balance assessment, we cannot just rely on data from rats,
12 oral, you know, given, because we are not rats and our
13 metabolism is different. And it may turn out that when we
14 have lots of data that we see that in humans the turnover
15 is so high, that the concentrations never reach high
16 concentrations in tissues, in cells, in different organs.
17 We may see that in ten years from now. But today, we
18 don't have that data.

19 And I think for California seeing that high
20 volume of these chemicals in various applications and with
21 exposure to people at risk, I think it's necessary to have
22 this as a priority chemical on the list for the state of
23 California. And we can only hope that the EPA will listen
24 to our argument.

25 CHAIRPERSON SCHWARZMAN: So I am getting a clear

1 sense that there's general agreement on the Panel. And so
2 I wanted to call for a motion, if anybody would like to
3 make it, on the prospect of designating QACs as potential
4 priority chemicals.

5 Veena. So Veena --

6 PANEL MEMBER SINGLA: (Raised hand.)

7 CHAIRPERSON SCHWARZMAN: -- Dr. Singla then
8 motions that the chemical class of quaternary ammonium
9 compounds be included as priority chemicals for the
10 Biomonitoring Program.

11 Do we have a second?

12 PANEL MEMBER CRANOR: Second.

13 PANEL MEMBER FIEHN: Second.

14 PANEL MEMBER MCKONE: (Hand raised.)

15 CHAIRPERSON SCHWARZMAN: So Carl, I heard that
16 one first, seconds the motion.

17 And then I'd just like to take a vote. I'll go
18 through you as I see you on my screen and you can indicate
19 whether you support or oppose.

20 Eunha?

21 PANEL MEMBER HOH: Yes.

22 PANEL MEMBER CRANOR: I'm sorry. What do you
23 need, voice vote, or hands, or what?

24 CHAIRPERSON SCHWARZMAN: Carl, I took you as a
25 second, so you're a voice vote already.

1 PANEL MEMBER CRANOR: Okay.

2 CHAIRPERSON SCHWARZMAN: And I'm -- I'll request
3 a voice vote of each individual person. Thank you very
4 much.

5 PANEL MEMBER CRANOR: Okay.

6 CHAIRPERSON SCHWARZMAN: So Eunha, I heard as a
7 yes.

8 And Jenny?

9 PANEL MEMBER QUINTANA: I support.

10 CHAIRPERSON SCHWARZMAN: Tom?

11 PANEL MEMBER McKONE: Yes, I support.

12 CHAIRPERSON SCHWARZMAN: Great. And Oliver?

13 PANEL MEMBER FIEHN: Yes, I support.

14 CHAIRPERSON SCHWARZMAN: And I also support this
15 motion. So that makes it a unanimous motion, among the
16 voting members of the panel currently.

17 MS. HOOVER: Meg --

18 CHAIRPERSON SCHWARZMAN: Yes.

19 MS. HOOVER: -- just to clarify, Carl should
20 still vote. You should still ask him for his vote.
21 Seconding a motion, that's not his vote.

22 PANEL MEMBER CRANOR: I support.

23 CHAIRPERSON SCHWARZMAN: Thank you for that,
24 Sara.

25 PANEL MEMBER CRANOR: Thanks, Sara.

1 CHAIRPERSON SCHWARZMAN: Thanks for clarifying.

2 And now I can say it's a unanimous recommendation
3 of the Panel to include QACs as priority chemicals in the
4 California Environmental Contaminant Biomonitoring
5 Program. So with that, that concludes our deliberation
6 and recommendations section.

7 And we have ten minutes designated for open
8 public comment at this point. It's -- we'll accept
9 comments on any topic related to Biomonitoring California.
10 And I will turn to Elizabeth for -- to find out if we have
11 anybody who wishes to speak, or has presented anything to
12 the Program via email, or indicated they want to comment
13 via GoToMeeting.

14 DR. MARDER: We do in fact have -- we don't have
15 questions yet, but we do have a hand raised. May I go
16 ahead and unmute?

17 CHAIRPERSON SCHWARZMAN: Have on one second,
18 Elizabeth, because I see that Carl needs to say something.

19 PANEL MEMBER CRANOR: Yeah. Yes. Thank you.
20 This is my second meeting for the day. And I have a third
21 one that began a few minutes ago, so if you would excuse
22 me, I will leave. Thank you.

23 CHAIRPERSON SCHWARZMAN: Great. Thank you.
24 Thanks, Carl.

25 Elizabeth, thank you. You could go ahead.

1 DR. MARDER: Okay. Nancy Buermeyer would like to
2 speak again and I'm unmuting you now, Nancy.

3 MS. BUERMEYER: Okay. Can folks hear me?

4 PANEL MEMBER MCKONE: Yes.

5 MS. BUERMEYER: Great. Nancy Buermeyer, Breast
6 Cancer Prevention Partners again. Unfortunately, I was
7 not able to see most of today's schedule, but I did want
8 to make a comment about the importance of what you're
9 doing in putting chemicals on the priority list, and
10 particularly classes of chemical on the priority list.

11 The California Biomonitoring priority list is
12 part, as you know, of the DTSC Chemicals of Concern lists.
13 And that list has been used in a number of pieces of
14 legislation to get disclosure in product categories that
15 we have not had disclosure in before, so cleaning
16 products, the fragrance in flavors in personal care
17 products, chemicals in menstrual products. And we're
18 working on one now to try to get disclosure of chemicals
19 in cookware.

20 So every time you put something on that priority
21 list, even if the -- even if the Program doesn't have the
22 resources to do the actual biomonitoring, it still makes a
23 huge difference in being able to better understand where
24 these chemicals are in consumer products. And so I really
25 want to thank you for doing all the work that you do and

1 for continuing to add chemicals to this list. It's really
2 important for the work that we do to try to advocate not
3 only to know what's in these products, but to get them out
4 of these products.

5 So thanks very much.

6 CHAIRPERSON SCHWARZMAN: Thank you for that
7 comment.

8 Elizabeth, anyone else who would like to make a
9 public comment?

10 DR. MARDER: We have no raised hands and no
11 questions submitted at this time.

12 CHAIRPERSON SCHWARZMAN: Okay. Then Veena has
13 something to add.

14 PANEL MEMBER SINGLA: Thank you, Meg. I just had
15 a general comment or suggestion that I think it would be
16 helpful for the Panel to ask all presenters to disclose
17 funding sources for work presented to the Panel and make
18 explicit conflicts of interest disclosure statements. I
19 think it's -- those are very helpful in being able to
20 evaluate any of the work presented to the Panel.

21 CHAIRPERSON SCHWARZMAN: I second that
22 suggestion. And I just want to ask Sara, if there is a
23 general sort of protocol that's provided to presenters in
24 those lines? I know some people just take that practice
25 when they're presenting their research is presenting the

1 funding that they received for that research, but is
2 anybody given guidance about it?

3 MS. HOOVER: No. Actually, I think that's a
4 great point. And I think Kristi might still be on.
5 Kristi, are you available to comment on this? We haven't
6 formally done this. I think I'm hearing Veena asking that
7 we do this going forward. And so we're taking note of
8 that. And not sure if Kristi still on.

9 SENIOR STAFF COUNSEL MORIOKA: I am.

10 MS. HOOVER: Fantastic.

11 SENIOR STAFF COUNSEL MORIOKA: I am still On.
12 Why don't we -- why don't we take this back as something
13 to look at. And I understand what your comment is, Dr.
14 Singla. So I'm -- we'll give you a response and an
15 answer, and we'll funnel that through Sara.

16 MS. HOOVER: Yeah. And we can address it
17 publicly at the next meeting as well.

18 PANEL MEMBER SINGLA: Thank you so much. That's
19 great.

20 CHAIRPERSON SCHWARZMAN: Thank you for that.
21 Yeah, and I would also -- well, it will be dealt with
22 publicly, but I very much support that request.

23 Jenny, had a contribution.

24 PANEL MEMBER QUINTANA: I was just going to
25 further suggest that we follow a model for disclosure like

1 the American Public Health Association does at their
2 meeting -- annual meetings, where you have a slide
3 included in the slide deck that has to formally --

4 (Voice in background.)

5 CHAIRPERSON SCHWARZMAN: There was a little bit
6 of interference there. But as I understand, Jenny was
7 recommending a particular model for that disclosure, if
8 the Program decides to go that way, which is helpful.

9 Other -- oh, José, please.

10 PANEL MEMBER SUÁREZ: Just a brief follow-up for
11 that. And that usually comes at the beginning of the
12 presentation, so people know from the beginning, if there
13 are any conflicts of interest.

14 PANEL MEMBER MCKONE: Agreed.

15 CHAIRPERSON SCHWARZMAN: Okay. So I want to
16 check in one last time with Elizabeth, since according to
17 the agenda, we're still in the time period for open public
18 comment, just make sure that others haven't indicated
19 interest in speaking.

20 DR. MARDER: Not yet. And we're still checking
21 the email. Last check of the Biomonitoring California
22 email had none from that venue either. So it looks like
23 we do not have public comments at this time.

24 MS. HOOVER: And this is Sara, just confirming,
25 yes, still no emails coming to the Biomonitoring

1 California email. So, yeah, I think you can go ahead and
2 wrap-up, Meg.

3 CHAIRPERSON SCHWARZMAN: Great. Thank you. So
4 thank you all for the contributions to this meeting.
5 Panelists are the visible ones, but the Program staff does
6 a tremendous amount to set up the meeting, and invite the
7 speakers, and organize a really meaningful agenda for us.
8 And the added difficulty of doing that all remotely is not
9 lost on me. So I want to recognize the Program staff and
10 also everybody who joined us to present today, the public
11 commenters, everybody who asked questions. It all
12 enriches the conversation and we appreciate your
13 contributions.

14 A transcript of this meeting will be posted on
15 the Biomonitoring California website when it's available.
16 And I think as Sara mentioned, the next SGP meeting will
17 be July 16th, 2021 and will be held like this one via
18 webinar.

19 I want to thank everybody who contributed today
20 and adjourn the meeting.

21 Thank you.

22 (Thereupon the California Environmental
23 Contaminant Biomonitoring Program, Scientific
24 Guidance Panel meeting adjourned at 4:19 p.m.)

25

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

CERTIFICATE OF REPORTER

I, JAMES F. PETERS, a Certified Shorthand Reporter of the State of California, do hereby certify:

That I am a disinterested person herein; that the foregoing California Environmental Contamination Biomonitoring Program Scientific Guidance Panel meeting was reported in shorthand by me, James F. Peters, a Certified Shorthand Reporter of the State of California, and thereafter transcribed under my direction, by computer-assisted transcription.

I further certify that I am not of counsel or attorney for any of the parties to said meeting nor in any way interested in the outcome of said meeting.

IN WITNESS WHEREOF, I have hereunto set my hand this 18th day of March, 2021.



JAMES F. PETERS, CSR
Certified Shorthand Reporter
License No. 10063