

MEETING
STATE OF CALIFORNIA
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
ENVIRONMENTAL CONTAMINANT BIOMONITORING PROGRAM
SCIENTIFIC GUIDANCE PANEL

ELIHU HARRIS STATE BUILDING
ROOM 1, SECOND FLOOR
1515 CLAY STREET
OAKLAND, CALIFORNIA

THURSDAY, APRIL 11, 2013
9:47 A.M.

JAMES F. PETERS, CSR, RPR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

A P P E A R A N C E S

PANEL MEMBERS:

Ulrike Luderer, Chairperson, M.D., Ph.D.

Asa Bradman, M.S., Ph.D.

Carl Cranor, Ph.D., M.S.L

Marion Kavanaugh-Lynch, M.D., M.P.H.

Thomas McKone, Ph.D.

Julia Quint, Ph.D.

Michael P. Wilson, Ph.D., M.P.H.

OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT:

Dr. George Alexeeff, Director

Dr. Lauren Zeise, Deputy Director, Scientific Affairs

Ms. Amy Dunn, Safer Alternative Assessment and
Biomonitoring Section

Ms. Sara Hoover, Chief, Safer Alternatives Assessment and
Biomonitoring Section

Ms. Fran Kammerer, Staff Counsel

Dr. Gail Krowech, Staff Toxicologist, Safer Alternatives
Assessment and Biomonitoring Section

Dr. Laurel Plummer, Associate Toxicologist, Safer
Alternatives Assessment and Biomonitoring Section

DEPARTMENT OF PUBLIC HEALTH:

Dr. Michael J. DiBartolomeis, Chief, Exposure Assessment
Section, Environmental Health Investigations Branch

Dr. Michael Lipsett, Chief, Environmental Health
Investigations Branch

A P P E A R A N C E S C O N T I N U E D

DEPARTMENT OF PUBLIC HEALTH:

Dr. Laura Fenster, Research Scientist, Environmental Health Investigations Branch

Ms. Lauren Joe, Research Scientist, Environmental Health Investigations Branch

Dr. Sandra McNeel, Research Scientist, Environmental Health Investigations Branch

Dr. Jianwen She, Chief, Biochemistry Section, Environmental Health Laboratory

DEPARTMENT OF TOXIC SUBSTANCES CONTROL:

Dr. Myrto Petreas, Chief, Environmental Chemistry Branch

ALSO PRESENT:

Mr. Davis Baltz, Commonweal

Ms. Nancy Buermeyer, Breast Cancer Fund

Dr. Diana Graham, Keller & Heckman

Ms. Renée Sharp, Environmental Working Group

I N D E X

	PAGE
Welcome	
George Alexeeff, Director, Office of Environmental Health Hazard Assessment (OEHHA)	2
Overview of the Meeting	
Ulrike Luderer, Chair, Scientific Guidance Panel (SGP)	7
Introduction to Morning Session	
Presentation: OEHHA	10
NIEHS Strategies in Biomonitoring and Low Dose Exposures	
Presentation: Linda Birnbaum, Ph.D., D.A.B.T., A.T.S., Director, National Institute of Environmental Health Sciences (NIEHS) and National Toxicology Program	13
Panel Questions	42
New Findings on Flame Retardants in Biospecimens, Dust, and Consumer Products	
Presentation: Heather Stapleton, Ph.D., Associate Professor, Duke University	45
Panel Questions	75
Panel Discussion with Guest Speakers	
Panel and Guest Speaker Discussion	80
Public Comment	94
Morning Session Wrap-up	102
Afternoon Session	107
Program Update and Future Directions	
Presentation: California Department of Public Health (CDPH)	107
Panel Questions	122
Public Comment	131
Panel Discussion	134

I N D E X C O N T I N U E D

PAGE

Laboratory Update and Recent Biomonitoring California Results

Presentation: Department of Toxic Substances Control (DTSC)	149
Presentation: CDPH	165
Panel Questions	173
Public Comment	180
Panel Discussion	181

Launch of Revised Biomonitoring California Website

Presentation: OEHHA	182
Panel Questions	195
Public Comment	205
Panel Discussion	206

Potential Priority Chemicals

- For SGP consideration at this meeting (April 11): Non-Halogenated Aromatic Phosphates,
- p,p'.-Bisphenols, and Diglycidyl Ethers of p,p'.-Bisphenols
- Panel suggestions on possible candidates for future consideration

Presentation: OEHHA	208
Panel Questions	211,
	213
Public Comment	220,
	243
Panel Discussion and Recommendations	223

Open Public Comment Period 246

Wrap-up and Adjournment 246

Reporter's Certificate 248

P R O C E E D I N G S

1
2 DR. LIPSETT: Okay. We'd like to get started
3 here. All right. So ordinarily Dr. Alexeeff is the first
4 speaker. He opens these meetings, but I wanted to say a
5 couple of words first. This is not part of the regular
6 agenda.

7 So as the Panel members know -- Dr. Luderer, I
8 hope you'll indulge me this. Panel members know, except
9 for perhaps Dr. Cranor, who's more recently appointed, Dr.
10 Denton retired at the end of January 2011, and Dr.
11 Alexeeff since then has -- he was initially the Acting
12 Director of OEHHA, and then Governor Brown appointed him
13 as Director of OEHHA, so he could help open and close
14 these meetings and otherwise help facilitate them.

15 But Gubernatorial appointments in California have
16 a very limited shelf-life. It's one year, unless the
17 legislature adds its imprimatur. And I'm happy to report
18 that, and for those of you who don't know, that the State
19 Senate unanimously confirmed George as Director of OEHHA.
20 I wanted to acknowledge and congratulate him on this.

21 (Applause.)

22 DIRECTOR ALEXEEFF: Thank you, Michael.

23 DR. LIPSETT: And just a couple of other remarks
24 about this. George actually was hired in the Department
25 of Health Services as a staff toxicologist, and this is

1 before OEHHA, or for that matter, Cal/EPA was even a
2 twinkle in Governor Wilson's eye. So he's been with OEHHA
3 since its inception, and has risen through the ranks. And
4 this is pretty rare among department directors.

5 So, you know, he's familiar with its entire
6 history. And, again, I just wanted to acknowledge his
7 achievement and congratulate George.

8 (Applause.)

9 DIRECTOR ALEXEEFF: Well, I guess I have to thank
10 Michael for hiring me as a staff toxicologist.

11 (Laughter.)

12 DIRECTOR ALEXEEFF: All right. Well, I'm George
13 Alexeeff, Director of the Office of Environmental Health
14 Hazard Assessment. And I want to welcome the Panel
15 members and the staff and the public to the meeting of the
16 Scientific Guidance Panel, of the California Environmental
17 Contaminant Biomonitoring Program, which we call
18 Biomonitoring California. And I also want to thank the
19 Panel, the public for taking time out of their schedules
20 to advise us on this program.

21 Now, we also have another change. Last meeting,
22 I think, or a couple meetings ago, we had announced that
23 Dr. Rupali Das had left for another position. And so I
24 wanted to introduce Dr. Michael DiBartolomeis back there.
25 And Michael is someone who has over 28 years of

1 professional experience in practicing public health,
2 environmental health protection, and chemical policy
3 development in the public and private sectors. And I
4 guess I can insert here, he also is someone who was hired
5 as a staff toxicologist and worked through the ranks. You
6 know, in the Health Department and OEHHA, Michael and I
7 have worked together on a number of different programs.
8 So it's great to have him here.

9 (Applause.)

10 DIRECTOR ALEXEEFF: I'll give you a little bit of
11 his background. Currently, he's Chief of the Exposure
12 Assessment Section in the Environmental Health
13 Investigations Branch of CDPH. And he's the newly
14 appointed lead for Biomonitoring California.

15 So for the past eight years, he directed the
16 CDPH's Occupational Lead Poisoning Prevention Program.
17 And in 2006, he also created and ran the California Safe
18 Consumer -- Safe Cosmetics Program. Excuse me.

19 Previously, he'd spent 15 years in OEHHA focusing
20 on pesticide and food toxicology. He earned his doctoral
21 degree in toxicology in 1984 from the University of
22 Wisconsin in Madison, and he's certified by the American
23 Board of Toxicology.

24 His professional interests include reforming
25 chemical management policy in the United States and

1 internationally by integrating the principals of
2 environmental justice and precaution into environmental
3 decision making. And I'll have to say this that, you
4 know, we're close to finishing our project called
5 Cal-Enviro-Screen, which is one to address Environmental
6 Justice issues in California. And I remember Michael
7 mentioning this issue Environmental Justice when I didn't
8 even know what it was, and that was years ago.

9 He also was involved in developing approaches and
10 methods to identify and evaluate safer chemical
11 alternatives and applying prevention and precautionary
12 practices to protect public health and the environment.
13 So I thank Michael for being here.

14 I also want to introduce another new individual,
15 Dr. Martha Sandy. Where is she?

16 Oh, way back there.

17 So Dr. Sandy was recently appointed as Chief of
18 the Reproductive and Cancer Hazard Assessment Section
19 here -- Hazard Assessment Branch in OEHHA. So she's been
20 in State service for 19 years, 15 of them as Chief of the
21 Cancer Toxicology and Epidemiology Section in OEHHA. And
22 before joining OEHHA, she conducted research investigating
23 biochemical and molecular mechanisms of toxicity and
24 carcinogenicity and biochemical and genetic susceptibility
25 factors in Parkinson's Disease. So she has a Ph.D. and

1 M.P.H. in Environmental Health Sciences with emphasis in
2 toxicology from UC Berkeley. And she's involved in the
3 initial development in implementation of the Biomonitoring
4 California Program, and has served on a number of external
5 scientific advisory committees. So she has taken Lauren
6 Zeise's previous place now that Lauren is Deputy Director
7 for Science at OEHHA.

8 Also, I want to again acknowledge and thank Dr.
9 Dwight Culver who was a member of this Panel for his
10 service and member -- service to the Scientific Guidance
11 Panel. And Biomonitoring California would like to extend
12 a sincere thank you to Dr. Culver for his service since
13 its inception in 2007 after being appointed by Governor
14 Arnold Schwarzenegger.

15 And over a period of five years he attended more
16 than 10 meetings and made valuable contributions to
17 development and implementation of the Program.
18 Biomonitoring California has greatly benefited from his
19 unique perspective stemming from his long history of
20 public service.

21 You know his long career started as a physician
22 in the California State Health Department in 1953, so.
23 And then it continues to this day as Professor Emeritus at
24 the UC Irvine School of Medicine.

25 And Dr. Culver's extensive medical and public

1 health knowledge have been of particular value to this
2 program, as we've developed approaches for interacting
3 with study participants. And we wish Dr. Culver the best
4 in his future endeavors.

5 So logistics. A slight different venue than last
6 time. So restrooms, out the back door, or if you need to
7 the front door, and then to the left and around to the
8 right.

9 Emergency exits. If there's an emergency need,
10 you'll have to -- there's an emergency exit there, you'll
11 have to exit down the stairwells and then onto the street,
12 and we go directly across -- well, the exits are directly
13 across the hall.

14 So the meeting is being transcribed. And we
15 regret we're unable to webcast this meeting. There will
16 be a transcript of the meeting posted on the website in
17 about a month after the meeting. Remind people though to
18 speak clearly into the microphones.

19 So at our last meeting, which was held in
20 Sacramento on November 8th, 2012, the Panel heard Program
21 and laboratory updates and discussed the preliminary
22 Biomonitoring California results from the California
23 Teachers Study and the Maternal and Infant Environmental
24 Exposure Study.

25 The Panel unanimously voted to recommend adding

1 p,p'-bisphenols and diglycidyl ethers of p,p'-bisphenols
2 to the list of designated chemicals, and requested the
3 Program bring this group back to the Panel for
4 consideration as potential priority chemicals.

5 They asked the Program to move forward with a
6 potential -- with a potential designated chemicals
7 document on synthetic musks. And they provided input on
8 the topics for the 2013 Scientific Guidance Panel
9 meetings.

10 So for a summary of the meeting highlights and
11 the Panel's input to the Program, at the November meeting,
12 please visit the biomonitoring website.

13 Now, I'd like to turn the meeting over to Dr.
14 Luderer.

15 CHAIRPERSON LUDERER: Okay. Thank you, Dr.
16 Alexeeff. I'd like to also welcome everyone, the staff of
17 the California Environmental Contaminant Biomonitoring
18 Program, the Scientific Guidance Panel, members of the
19 public, as well as our guest speakers who we're very
20 pleased to have here today.

21 So the goals for the Panel for the meeting for
22 today are to hear two presentations from two guest
23 speakers, Dr. Linda Birnbaum who's the director of the
24 National Institute of Environmental Health Sciences, and
25 the National Toxicology Program, and Dr. Heather

1 Stapleton, who's an Associate Professor at Duke
2 University. And we will then discuss implications of
3 their work for the Biomonitoring California Program.

4 In the afternoon, we'll receive Program and
5 laboratory updates, including some Biomonitoring
6 California results and provide input on that. And we'll
7 also see a demonstration of the new Biomonitoring
8 California website and provide our initial impressions.

9 Finally, we'll consider three chemical classes
10 that Dr. Alexeeff just mentioned, non-halogenated aromatic
11 phosphates, p,p'-bisphenols and diglycidyl ethers of
12 p,p'-bisphenols as potential priority chemicals and make
13 recommendations. And we'll provide suggestions on
14 possible candidates for future consideration as potential
15 priority chemicals.

16 So during the time allotted to each presentation,
17 there will be time for Panel questions, time for public
18 comments, as well as Panel discussion and recommendations.
19 I just want to remind everyone how we'll handle the public
20 comments. So if a member of the public would like to make
21 a comment and they're in the room, he or she should fill
22 out a comment card, which can be obtained from the staff
23 table with the handouts at the back of the room, and you
24 can turn your cards into Amy Dunn.

25 Amy, could you raise your hand. Okay, she's

1 sitting over there on my right.

2 And if you're not at the meeting in person, those
3 members of the public have the opportunity to provide
4 comments via email. And those comments will be provided
5 to me, so that I can read them allowed during the
6 appropriate time during the meeting.

7 So to ensure that the meeting proceeds on
8 schedule, and that all commenters have the opportunity to
9 speak, we'll time the public comments and they will be
10 subject to time limits. So the time allotted will be
11 divided equally among all those individuals who wish to
12 speak.

13 We also ask that people keep their comments
14 focused on the agenda topics being presented. And then at
15 the end of the day, we'll have an open public comment as
16 the last item of the day, at which time members of the
17 public can address any topic related to Biomonitoring
18 California.

19 I wanted to remind everyone to speak directly
20 into the microphone and please introduce yourself before
21 speaking. This is for the benefit of our transcriber.
22 And I just wanted to let you know also that the materials
23 for the meeting were provided to the Scientific Guidance
24 Panel members and posted on the Biomonitoring California
25 website. There are a few copies of the handouts and one

1 sample Scientific Guidance Panel folder for viewing on the
2 staff table in the very back of the room.

3 And, finally, we'll take two breaks today. One
4 around noon for lunch and another one, a short break, at
5 2:45.

6 So now, it's my pleasure to introduce Sara
7 Hoover, the Chief of the Safer Alternatives and
8 Biomonitoring Section of OEHHA, who will introduce our
9 guest speakers for the morning. And after the
10 presentations, there will be time for Panel discussion
11 with the guest speakers and public comment, and then we'll
12 have a brief wrap-up of the morning session before lunch.

13 Sara.

14 MS. HOOVER: Thank you, Dr. Luderer. I'll just
15 put this presentation up.

16 (Thereupon an overhead presentation was
17 presented as follows.)

18 MS. HOOVER: So, welcome everyone, and thanks for
19 coming.

20 The first thing I'd like to do to introduce the
21 morning session is to thank Dr. Myrto Petreas. It was Dr.
22 Petreas's idea to link up our SGP meeting with the BFR
23 2013, the Sixth International Symposium on Flame
24 Retardants, which was held in San Francisco this week.
25 And that's how we're so fortunate to have Dr. Birnbaum and

1 Dr. Stapleton to speak this morning.

2 So I'm just going to say some very brief words
3 about what is the theme of this session. So the Panel has
4 encouraged us to try to be out in front looking for
5 emerging chemicals and developing new methods. So the
6 overall theme of this morning's session is to talk about
7 new research that could inform our efforts to select and
8 measure emerging chemicals.

9 We're going to hear from Dr. Birnbaum about
10 initiatives from NIEHS and from Dr. Stapleton about recent
11 findings on flame retardants.

12 So I want to more formally introduce them. As
13 Dr. Luderer said, Dr. Birnbaum is director of NIEHS and
14 NTP. And both Linda and Heather have very long bios, and
15 so I'm going to give you some selected highlights of their
16 accomplishments.

17 As director of NIEHS and NTP Linda oversees a
18 budget \$780 million that funds biomedical research to
19 discover how the environment influences human health and
20 disease. The Institute also supports training, education,
21 technology transfer, and community outreach. NIEHS
22 currently funds more than a thousand research grants.

23 Linda has served as a federal scientist for
24 nearly 33 years. Prior to her appointment as NIEHS and
25 NTP Director in 2009, she spent 19 years at the

1 Environmental Protection Agency, where she directed the
2 largest division focusing on environmental health
3 research.

4 She's the author of more than 600 peer-reviewed
5 publications, book chapters, and reports. Her own
6 research focuses on the pharmacokinetic behavior of
7 environmental chemicals, mechanisms of action of
8 toxicants, including endocrine disruption, and linking of
9 real world exposures to health effects.

10 Linda has received many awards and recognitions.
11 In October 2010, she was elected to the Institute of
12 Medicine of the National Academies, one of the highest
13 honors in the fields of medicine and health. Linda
14 received her M.S. & Ph.D. in microbiology from the
15 University of Illinois at Urbana-Champaign.

16 And now I'll also introduce Dr. Stapleton. So
17 Dr. Stapleton is an Associate Professor of environmental
18 chemistry in the Nicholas School of the Environment at
19 Duke University. Her current research projects focus on
20 human exposure to flame retardant chemicals, particularly
21 in children, and identification of flame retardant
22 chemicals in consumer products. She is also studying
23 species-specific differences in the metabolism of flame
24 retardant chemicals and effects of halogenated
25 contaminants on thyroid hormone regulation.

1 In 2008, Heather was award an outstanding new
2 environmental scientist award from NIEHS for her research
3 grant proposal entitled, "Children's Exposure to
4 Brominated Flame Retardants: Effects on Thyroid Hormone
5 Regulation".

6 In 2012 she received the award for best science
7 paper of 2011 published in the Journal of *Environmental*
8 *Science and Technology* for her research on the
9 identification of flame retardant chemicals in baby
10 products.

11 Heather received her Ph.D. in Environmental
12 Chemistry from the University of Maryland at College Park.

13 So we have obviously two highly distinguished
14 speakers to talk with us this morning. The morning
15 session will start by -- with presentations from our guest
16 speakers and then a Panel discussion with the SGP.

17 So I'd like to invite Linda up to give her talk.

18 (Applause.)

19 (Thereupon an overhead presentation was
20 presented as follows.)

21 DR. BIRNBAUM: So, first of all, thank you, Sara,
22 and thanks, everyone, for being here. It's really a
23 pleasure. As usual, it's really nice to have a little bit
24 of spring. North Carolina, until I left, we had spring in
25 December.

1 (Laughter.)

2 DR. BIRNBAUM: And then it got really cold. And
3 then when I spoke to my husband yesterday, he said it was
4 almost 90 degrees, so I think I go back to summer.

5 (Laughter.)

6 DR. BIRNBAUM: So it's really nice for a few
7 days. Anyhow, what I really want to do is very briefly
8 kind of give you an overview of some issues that will be
9 relevant to biomonitoring. Although, I'm not explicitly
10 going to talk about biomonitoring, but talk about some of
11 the strategies that we're using. And a lot of our work
12 now, which is really looking at the issue of what's
13 happening at low levels of exposure, exposures which are
14 relevant to the general population.

15 And so I'm not sure I really need to remind this
16 group of why environmental health matters, but I think
17 many of you probably saw the recent report that -- reports
18 that came out in Lancet last December, which looked at the
19 global burden of disease, and stressed that at least 13
20 million deaths could be prevented by improving our
21 environment.

22 We know that at least 85 out of 102
23 non-communicable diseases are related to the environment.
24 And I think one point that is important to make is that
25 while so much of the focus on international and health has

1 focused on infection disease, in fact, the greatest burden
2 of disease is related to chronic non-communicable
3 diseases. And many of these actually have environmental
4 components, and many of them people are just beginning to
5 understand they start early life, and we're not focusing
6 on those issues very often.

7 So we know that environmental factors at least
8 play a role in at least two-thirds of cancer cases in the
9 United States. And my tag line really is you can't change
10 your genes, but you can change your environment. And I
11 think this is the positive message that we need to get out
12 there.

13 There's a tremendous amount of work and interest
14 in genomics. That's fine if you're trying to develop
15 approaches to personalized medicine. You're fine maybe if
16 you're wanting to do mechanistic studies. But if you
17 really want to protect the population, we have to
18 understand what things are that are in our environment
19 that we can do something about, and therefore improve the
20 health of everyone.

21 So I think one point when we talk about exposures
22 is to understand that environmental exposures are very
23 complicated and not the same for everyone. There are
24 thousands of chemicals in our environment. I could quote,
25 you know, the statistics that are often used when we're

1 talking about TSCA, which we all know is a law that
2 doesn't work. But the point is when it was established,
3 there were at least 60,000 chemicals in commerce. Then we
4 talked about 80,000.

5 If you go to Europe, which has the REACH Program,
6 which is supposed to ensure that chemicals are tested for
7 safety before you use them, not necessarily only when a
8 problem emerges. But there, they talk about 143,000
9 chemicals in commerce.

10 And I would remind you that when we talk about
11 chemicals, we tend to focus on chemicals that are
12 synthetic. But guess what? You know, everything that you
13 eat -- I mean, your food is composed of chemicals. You
14 all take certain kinds of medications, or most people take
15 something, whether it's over-the-counter vitamins or, you
16 know -- or just -- or, you know, some kinds of drugs.
17 These are all chemicals, but somehow we compartmentalize,
18 and we forget that all of these things interact.

19 And I would remind us that while we tend to focus
20 on one chemical, or occasionally one chemical class at a
21 time, we live in a soup of exposures, and we need to begin
22 to approach and try to understand much better how these
23 things interact.

24 There's lots of growing evidence now that what
25 you eat has dramatic impacts, not only on how you handle

1 different chemicals to which you are exposed, but it
2 tremendously alters your micro biocomponents, and your
3 microbiome has a great impact on what happens to not only
4 the foods that you eat, but on the chemicals to which
5 you're exposed.

6 And I'm sounding like I'm talking all going in
7 one direction from food impacts how you handle chemicals,
8 but it's the other way around also. And there's this
9 interaction, we need to begin to try to approach it and
10 understand that.

11 So we know exposure also occurs via many
12 different routes, and many different kinds of exposures.
13 I mentioned pathogens here and whether different kinds of
14 microbes are pathogens or not, we need to begin to look at
15 the interactions we have. So, for example, when we
16 understand now that at least four million people die
17 prematurely every year from indoor household smoke. And
18 obviously this is primarily in the developing world where
19 you have indoor cook stoves, which are really anything but
20 stoves, and lack of ventilation, and so on. And about
21 three-quarters of those who die are young children.

22 But the real reason that a lot of these kids are
23 dying is not only that they're inhaling high levels of
24 particulate matter and NOx and so on, but because exposure
25 to PM and NOx suppresses their ability to respond to a

1 bacterial or a viral challenge. So therefore, they are
2 more at risk inherently, because of their exposures, which
3 makes them more susceptible.

4 We also know that exposures differ depending on,
5 you know, on the individual and dose and timing. And I
6 think it's really important for us to stop trying to focus
7 on a certain exposure and thinking that everybody responds
8 the same way, because if you're an infant, if you're in
9 utero, you know, if you're a child, if you're a healthy
10 young adult, if you're an elderly person, your responses
11 may, in fact, be very different, not only because you may
12 be achieving a different does, but because of differential
13 susceptibility.

14 And there's something else we have to focus on.
15 We often talk about the global burden of disease. But
16 really we should probably be talking about the global
17 burden of disease and dysfunction. I mean, I'm not sure
18 that we call ADHD a disease, but it's certainly alters the
19 ability to function, for example, the way many other
20 people do. Do we call autism a disease? Well, we call it
21 a disorder. Autism Spectrum Disorders, again, because it
22 alters, but it's not a disease.

23 And so I think we need to be more inclusive in
24 some of the words that we use. But I think that we need
25 to understand that exposures at one point in time may not

1 be exactly related to the outcomes. That the outcomes may
2 occur days or months or in many cases years or even
3 decades later.

4 So I've already kind of raised the issue about
5 differential susceptibility, depending upon where you are
6 in your life, but there's a whole new focus that many
7 adult diseases, especially chronic non-communicable
8 diseases, may actually be initiated in utero or in
9 infantile periods.

10 So why is this?

11 Well, we know that when an embryo and then a
12 fetus is being formed, you have a time of great
13 plasticity. There's a great amount of change going on. A
14 lot of cell division and differentiation. These are all
15 key opportunities to throw a monkey wrench into the
16 system. And if, in fact, you impact something during
17 development, it is likely to have long-term consequences.

18 I used to do a lot of work on cleft palate years
19 ago. And, for example, if you expose animals to something
20 that caused a cleft palate, past organogenesis, you didn't
21 get a cleft palate, because the palate was already formed.
22 So that, you know, for example, the heart is beating by
23 six weeks of age actually in a human embryo. So if you're
24 looking at something that's going to affect the structural
25 development of the heart, you know, you really need to

1 look at something that's happening very early on.

2 The success of supplementation with folate or
3 folic acid in the food supply, which has really
4 dramatically reduced the levels of neural -- open neural
5 tube defects is because the critical time for the -- when
6 the spinal column and the, for example, close over, the
7 neural tube has to be formed is within the first six to
8 eight weeks. And a lot of women don't even know they're
9 pregnant in that time, so they're not necessarily taking
10 prenatal vitamins and so on. So again, the critical time.

11 Now, the mechanism for many of these changes
12 we've historically focused, especially on environmental
13 chemicals in looking at mutagens, but we're beginning to
14 understand that you don't have to change the primary
15 sequence of DNA to have long-term effects. You can have
16 epigenetic reprogramming. And epigenetics is -- some
17 people use the analogy that I hate, which is, you know,
18 that the genes, you know, are the gun, and the
19 epigenetics, you know, pull the trigger.

20 I much prefer -- especially, that's horrible.

21 (Laughter.)

22 DR. BIRNBAUM: I know. I much prefer, you know,
23 the hardware/software allusion that, you know, your DNA is
24 the hardware and the epigenetics that's the software
25 that -- in fact, which it is, because that's what tells

1 what genes are turned on and what genes are turned off.
2 And we know that epigenetics involves not only methylation
3 of DNA -- and I should tell you that in the fertilized egg
4 there is lots of methylation of adenine going on, which we
5 don't really understand, but it's probably real important.
6 It goes away pretty quickly.

7 And then you have not only the methylation of
8 DNA, which can again be involved, and depending where it
9 occurs, will help turn genes on and off. But then you
10 have methylation and acetylation, and propionylation, and
11 butyrylation and a dimethylation and trimethylation, et
12 cetera, et cetera, et cetera, of specific amino acids in
13 the four histones.

14 And, in general -- for example, methylation of
15 DNA in general turns off expression of a gene, but
16 methylation of histones, in general, turns on expression
17 of DNA. But it's not that simple. And then you've got
18 all these RNAs running around, the micro RNAs that we used
19 to think about. That was junk. That was pure garbage.

20 Certainly, when I was, you know, underwent my
21 training, you talked about DNA, RNA, and protein. And RNA
22 there was a messenger RNA, transfer RNA, ribosomal RNA,
23 and all that other stuff in the cell that was junk.

24 Guess what?

25 Junk is not conserved evolutionarily.

1 (Laughter.)

2 DR. BIRNBAUM: Neither are all those regions of
3 DNA that are not coding for structural genes. We're
4 beginning to understand that they do something. And so
5 these micro-RNAs again are playing a very, very important
6 role in control of gene expression.

7 So, again, I think I've covered that slide.

8 So effects can be persistent. And I've said that
9 anything that occurs during development is likely to have
10 long-term consequences. And here I want to talk a little
11 bit about some of the chemicals that are proliferating so
12 rapidly in the environment. Heather will probably use the
13 term of the chemical conveyor belt, where, you know, you
14 have one chemical. You find out it's not, you know, so
15 great, so then you take -- you go to the next chemical,
16 which is kind of -- you know, you put on a little
17 functional group or take off a functional group.

18 Arlene Blum, who I think you all know, uses the
19 terminology whack-a-mole. You know, you just keep hitting
20 on different things. And it's something we have to begin
21 to address.

22 As we know, many new chemicals are untested, so
23 BPA I'm -- you know, many of us are concerned about BPA.
24 There's certainly a large body of evidence which is
25 demonstrating not only in the animal studies, but now in

1 the human epidemiological studies that there are
2 associations with a variety of adverse health outcomes.

3 So industry is listening. They're concerned.
4 The marketplace speaks. So what are we using? We're
5 using BPS. Guess what? BPS does some of the same things
6 that BPA does, at least in preliminary short-term tests,
7 you know, we don't know.

8 But there was essentially no testing of it. And
9 I can tell you we're doing a study in our clinical unit,
10 where we've recruited cashiers and we measure their urine
11 levels, because to measure these very rapidly-eliminated
12 compounds, frankly, measuring in blood is not the way you
13 want to go. You can measure what you're looking for in
14 urine. And what we found is we couldn't see any
15 difference in the pre- and post-shift cashiers who are
16 handling thermal paper. Some of thermal paper has BPA.
17 But guess what? Lots of thermal papers now don't have BPA
18 anymore, they have BPS.

19 We could not see the pre- and post-shift
20 difference with BPA, probably because there's so much BPA
21 all around. But with BPS there was a dramatic increase.
22 So that isn't published yet. We're still -- we're trying
23 to go from I think we have 15 volunteers so far. We want
24 to get up to a few more, but it's kind of interesting.

25 In addition, we have some chemicals that are very

1 persistent and can cause long-term environmental health
2 consequences, because you continuously get exposed and the
3 levels build up. But a lot of chemicals, which don't have
4 long half-lives, still can have effects.

5 And, you know, I've just listed here phthalates,
6 PAHs, flame retardants. You know, what occurs in utero
7 can have very long-term health consequences.

8 So a lot of our focus really is focusing on what
9 occurs actually within environmentally relevant
10 concentrations. And one of the problems I want to stress
11 here is people talk about low dose. And there's a lot of
12 controversy, apparent controversy in the literature. And
13 part of it is because people don't define what they mean
14 by low dose.

15 Is it low dose as far as the administered dose?
16 Is it low dose in terms of the body burden, or the
17 internal concentration? Does low dose mean within the
18 internal concentration that you find in the human
19 population?

20 We've got to encourage people to say what they
21 mean. But we're beginning to understand more and more
22 that endocrine disrupting compounds have many effects.
23 And these occur in region -- I'm going to use low dose
24 much more to mean what we find in the human population,
25 because, you know, these effects occur at very low

1 concentration.

2 And what we're finding is that there are lots of
3 animal studies which people used to say were high dose,
4 but they were using the term high and low dose based upon
5 the delivered concentration. And for most mice and rat
6 studies, you've got to use anywhere from a minimum of ten
7 to a hundred-fold higher delivered concentration to get
8 the same internal dose. So you've got to be looking at
9 that. So, again, humans exposed to EDCs and we are seeing
10 some effects.

11 So the Endocrine Society last year defined
12 endocrine disrupting chemicals as, "any exogenous chemical
13 or mixture that interferes with any aspect of hormone
14 action".

15 And I think this is important as a overarching
16 definition, because too much of focus on endocrine
17 disruption has focused, first of all, only on estrogens,
18 androgens, and thyroid hormones. And guess what guys, we
19 got lots of other endocrine systems in our body. And
20 remember, our endocrine systems, the role of the endocrine
21 is to main our basic physiology, you know, not only
22 reproduction.

23 But the other point here is that it's not just
24 binding to the specific receptor. And so much of the
25 focus and even identification of endocrine active or

1 chemicals has been, you know, is it a ligand for a given
2 receptor or does it block the binding of ligands to a
3 given receptor. And there are lots of other ways that you
4 can perturb hormone signaling that we need to think about.

5 And a report was just released in less than two
6 months ago, a joint report from UNEP and WHO It was
7 really an update of a WHO report on endocrine disrupting,
8 the State of the Science Report in 2002. So this was an
9 update.

10 And the bottom line for this report, and I urge
11 you to take a look at it, at least the executive summary,
12 which is fairly short, is that endocrine disruptors are
13 becoming a global threat, and that they need to be
14 addressed.

15 So it is on -- we actually have it so you can
16 find it on our website, on the NIH website we link to it
17 or you can go to the UNEP or the WHO website and find it.

18 So some of the research. We're looking at many
19 different endocrine disrupting chemicals across a wide
20 range of exposures and disease endpoints. So I've just
21 listed a couple of my favorites.

22 So obviously I've already mentioned BPA. Lots of
23 work continues with dioxin, again as a prototypical kind
24 of chemical. I should say that while dioxin exerts
25 essentially all of its effects through the AGE receptor,

1 the really exciting thing that we're learning here is that
2 the AGE receptor is a key regulatory protein, and
3 development and differentiation can act as a tumor
4 suppressor. So what we're learning from studying the
5 adverse effects of a chemical is actually helping us to
6 understand basic biological processes as well.

7 Metals. You know, metals don't go away.
8 Exposure continues. Arsenic is an ongoing problem in lots
9 of other parts of the world. I had no idea it was a
10 problem in North Carolina, until one of our grantees at
11 UNC, Rebecca Fry basically they did a survey and it turned
12 out they are lots of people on well systems in North
13 Carolina that have arsenic levels that are more than 10
14 times the EPA or the WHO limit.

15 You know, if you go into New England, lots of
16 arsenic in -- about 50 percent of the wells have elevated
17 arsenic. You go to large parts of the southwest, arsenic
18 is a major problem. You don't have to always go to
19 Bangladesh or West Bengal or, you know, Inner Mongolia or
20 parts of Vietnam to find problems with heavy metals. I
21 mean you've got lots in your own state. You've still got
22 chromium problems and, you know, lead, et cetera.

23 (Laughter.)

24 DR. BIRNBAUM: So I think another -- we are
25 focusing especially on sensitive windows of exposures. So

1 we tend to talk about birth cohorts or pregnancy cohorts.
2 Germaine Buck-Louis from the National Institute of Child
3 Health and Development recently published a series of
4 studies called the LIFE studies, where she actually
5 recruited couples post -- pre-conception and followed
6 them. And, you know, dads matter.

7 (Laughter.)

8 DR. BIRNBAUM: And when we just focus on
9 pregnancy, we tend to forget about that, and we need to be
10 thinking about that. We're looking at a lot of childhood
11 cohorts and we have recruited some puberty cohorts, which
12 I think are giving us some very interesting data.

13 I think you all know that puberty, especially in
14 girls, not so much in boys, which is really interesting,
15 has definitely -- you know, definitely the age of puberty
16 has fallen. So that now by the age of eight, almost -- I
17 want to -- I may not have these numbers quite right, but
18 from our Breast Cancer and the Environment Research
19 Program, we now know that the age -- and maybe Nancy can
20 give me the percentages. No.

21 Okay. So we know that African-American girls are
22 entering puberty sooner than Hispanic girls, sooner than
23 White girls. But even for white girls by the age of
24 eight, about 30 percent -- or 36 percent have actually
25 started into puberty. Age eight. I mean, I've got a

1 nine-year old granddaughter. She's got little breast
2 buds. And I'm thinking her mind and her body are just
3 completely unsynchronized. I think it's a problem.

4 Anyhow, we also have a lot of work looking at
5 reproductive health issues, behavioral issues in cancer.
6 And we are very interested in the issue of replacement
7 chemicals, and we really need to move to do better kinds
8 of testing.

9 So I've talked about hormones a little bit, and I
10 just wanted to give you some examples of what -- how the
11 tiny amounts of hormones -- you know, levels under
12 nanogram per ml have profound effects. If you look at the
13 levels of testosterone in a man, you know, really, you
14 know, 40 picograms per ml have effects. So if you look at
15 the levels of free estradiol in a woman, 50 picograms per
16 ml, you know, actually can have effects.

17 Well, if we look at levels of some chemicals like
18 PCBs, levels can again be in the -- maybe not 50
19 picograms, but 600 picograms per ml. Phthalates are in
20 the nanogram per ml levels. And I'm just -- we're
21 actually doing a human pharmacokinetic study in our clinic
22 on BPA. And if you give a dose, which is equal to the
23 RfD, which is based on rat studies and is 50 micrograms
24 per kilogram. If you get that dose to human volunteers,
25 this is the level of free BPA that you get in the blood,

1 the maximum concentration, very low bioavailability. It's
2 only about -- the ratio between the parent compound and
3 the conjugate, even in the blood, even at the C_{max} is
4 between 1 and 100 to 1 to 1000 to 1.

5 But the point is human exposures actually in the
6 general population is much lower than this. And yet,
7 we're seeing lots of associations with effects in the
8 population.

9 So we've also been looking at totalities of the
10 data. And we couldn't really do a formal analysis with
11 some of the work, for example, looking at environmental
12 chemicals in diabetes. I think many of you are aware
13 obesogen hypothesis, which again says that especially
14 exposure to a variety of environmental chemicals may alter
15 your set point and may set you up for obesity. And
16 there's a very high relationship between obesity and Type
17 2 diabetes.

18 The point is it's not that you want to give
19 anybody a bye and say oh, you can eat all the fatty food
20 you want, and you don't have to exercise, but are we
21 setting people up to fail, because they are chemically --
22 the set point is basically chemically reset.

23 So, for example, some work from Uppsala that
24 we've been involved in has shown a very high correlation
25 between PCBs and abdominal fat and looking at a whole

1 series of different studies. These are just the forest
2 plots here. And if you look at either for PCBs or
3 especially DDT and its derivatives, what you can see is
4 that in many, many different studies there appears to be,
5 in general, an association.

6 I should say that the strongest association that
7 we've seen is prenatal exposure to tobacco smoke. Mom is
8 smoking. We know at least to babies that are small for
9 gestational age. We know that there are associated
10 increases in asthma. Well, guess what? Those girls by
11 the age -- they're not girls. Those children by the age
12 of 10 are at increased risk of being obese.

13 You know, and that -- 22 out of 23 studies. And
14 there's another study that came out recently where it
15 looked from the MoBa study in Norway, where some having
16 managed to start a birth cohort about 10 or 15 -- over 20
17 years ago, and -- anyway, by the age of 20 in association
18 with the mom's cotinine levels when she was pregnant you
19 see this increase in obesity.

20 So to talk about flame retardants just very
21 quickly. They have -- PBDEs have a wealth of health
22 effects that have now been documented in humans. And I'd
23 like to point out that every single one of these effects
24 we've also seen in experimental animal studies. So that
25 provides the biological plausibility.

1 So there are clear evidence of neurodevelopmental
2 effects. Brenda Eskenazi and the CHAMACOS cohort which I
3 think you're probably familiar with. You know from our
4 Children's Environmental Health Center that we co-fund
5 with EPA at Berkeley has shown significant effects on IQ
6 and behavior, as well as there are several other groups
7 have seen these associations.

8 They're a clear perturbation of thyroid hormone
9 homeostasis. Now what we see in the humans is not clear.
10 Animal studies we almost always see a decrease in
11 circulating T4. In humans, sometimes we see -- most of
12 the times we see an increase. A couple times we see a
13 decrease. We don't really understand it, but it's clear
14 that the thyroid system is being targeted, and it is being
15 altered.

16 And then there's growing evidence for
17 reproductive developmental effects, so undescended
18 testicles in baby boys, early menarche in girls, effects
19 on circulating levels of a number of different hormones,
20 even associations with decreased sperm count and testis
21 size, for example, in boys. BDE is the abbreviation for
22 specific brominated diphenyl ether congener.

23 So I mentioned BPA. And I think there's a lot of
24 the studies that have looked cross-sectionally now at BPA
25 and shown associations with, for example, decreased sexual

1 function in males, decreased sperm count in males -- these
2 are some occupational studies -- evidence looking at the
3 NHANES database cross-sectionally effects, for example, on
4 cardiovascular disease and obesity. There are a couple
5 studies. One study has actually come out of New York City
6 showing an association with obese and overweight with
7 mom's BPA levels during pregnancy.

8 Russ Hauser from Harvard has done a series of
9 studies that have -- looking cross -- looking
10 longitudinally at this cohort. It was in women who were
11 trying to become pregnant, and needed Assistive
12 Reproductive Technologies. And they measured their BPA
13 levels, and they continued to follow them, and there was
14 clear association with reduced ovarian response in the
15 women with higher BPA levels. And they had lower peak
16 serum estrogen levels. And they also had an increased
17 odds of implantation failure. That's just kind of
18 concerning.

19 This is just some of the data from another paper
20 from Russ. And he did this in conjunction, for example --
21 CDC, by the way, does all the biomonitoring data for many
22 of our children centers. I should mention that, and a lot
23 of the work there.

24 But this is some data actually that Jodi Flaws
25 who's an expert in ovarian development and function

1 actually did this part of this. She's at the University
2 of Illinois. And what you can see here, the average BPA
3 levels are just under two and this is urinary levels. So
4 what you're really looking at is the conjugate, which is
5 eliminated in the urine.

6 So, let's see, on your left, the second bar
7 basically covers the average range. And there's really no
8 change between, you know, the first and the second
9 quartile. But as you start increasing the BPA levels, you
10 can see that not only the number of mature oocytes, but
11 the numbers of fertilized eggs are decreasing in
12 association with BPA.

13 So how do we look at all this data?

14 OHAT, is our Office of Hazard and Translation.
15 It's part of the National Toxicology Program. And we're
16 trying to develop systematic review approaches for use in
17 public health -- public health evaluations. And I think
18 this has grown out of the evidence-based kinds of
19 systematic reviews that are used in clinical studies.

20 And we're really asking the question, how do we
21 take clinical studies, plus epidemiology studies, plus
22 animal studies, plus mechanistic studies, and how do we
23 lay them all out there so everybody can see what we looked
24 at? What are the criteria we're going to use? And then
25 how are we going to evaluate them and rate all these

1 different studies?

2 In no way does this approach eliminate scientific
3 judgment, but it makes anyone see what was the basis for
4 the judgment that you used. And if any of you are
5 interested in this, we're having a webinar on April 23rd.
6 You can find it on the NTP website or the NIEHS website.
7 And it will be an opportunity to look at -- you know, you
8 can set up this whole system. You can say how it's going
9 to work. You've got to try it.

10 So we've developed a couple of prototypes, you
11 know, to see how it works. And the one that's going to be
12 on the webinar is going to be about BPA and diabetes and
13 obesity. So April 23rd if you're interested. But we're
14 hoping here to really be able to better characterize the
15 dose response for each health outcome, and again combine
16 all the inputs.

17 So some of the new research and new programs at
18 NIEHS. Again, I'm going to give you another example of
19 BPA, because this is an example of what we're trying to
20 do. We're trying to bring together different parts of the
21 Institute for kind of my vision of one NIEHS. So we have
22 this very large project, which has taken NTP, our
23 extramural grantees, our intramural scientists, and FDA --
24 and FDA is part of the National Toxicology Program as
25 well. And we're trying to look at linking some of the

1 mechanistic kinds of -- more academic studies with the
2 regulatory kinds of studies.

3 And we're actually doing a GLP-compliant study of
4 BPA toxicity in rats starting on gestation day 6 up until
5 two years of age. These studies started last fall.
6 They're well in progress. We have a very broad range of
7 doses, much more than kind of the standard NTP, which is
8 like, you know, control plus three doses. We've got, I
9 think here, control plus six doses. We're using estrogen
10 as a positive control.

11 Although, as I keep reminding people, BPA is not
12 just an environmental estrogen. It does lots of other
13 things, and it affects lots of other signaling systems
14 than just the estrogen system.

15 But we've got at least 12 different grantees. We
16 just had another one join. They were intimately involved
17 with FDA, with NTP in the planning and the conduct of
18 these studies. And from the pre-chronic studies, which
19 were completed last summer, we are, I will tell you,
20 beginning to see some results, some effects, which would
21 not be seen in a standard guideline kind of study. You
22 know, it's like if -- when you look, you find.

23 Another thing that we're really focusing on is
24 the issue of -- I talked about exposure before. We
25 co-funded, along with EPA, a NAS Committee to look at

1 exposure science in the 21st century. This was really a
2 follow-on in some ways to the toxicity testing in the 21st
3 century, the NAS report, which has had a huge impact that
4 was released in 2007.

5 We are very interested in the exposome, which,
6 you know, has been defined as the totality of human
7 exposure. I really think we have to begin to define it in
8 a way that we can use it. You know, if you think, you
9 know, doing deep sequencing of a genome is difficult,
10 welcome to the exposome. I mean it's orders of magnitude
11 more complicated, and it's much more than just
12 biomonitoring.

13 So, again, we have to focus on what is doable
14 today, and we need to look at the individual
15 susceptibility issues. We're looking at chemical
16 mixtures. I mentioned the point that we live in soup. So
17 one of our approaches right now is we're beginning to
18 focus on how do we look at the totality of PAHs, not just
19 the 11 or 12 that people have some information on, because
20 there are many, many, many, more PAHs, and different
21 sources lead to different kinds of PAHs.

22 We in part got into this because of the Deep
23 Water Horizon explosion, almost exactly three years ago,
24 where there was a great deal of concern about seafood, and
25 the contamination of seafood with PAHs. And, of course,

1 FDA has said, oh, everything is below the level of
2 concern, but nobody is looking at the fact that you've got
3 50 or 60 different PAHs that are all in the food and how
4 do you begin to total them up?

5 So we're developing an approach to begin to look
6 at the totalities of exposure and looking at many
7 different effects.

8 This is just some -- I think some very exciting
9 work coming out of Shuk-mei Ho from Cincinnati, where she
10 can actually look for biomarkers of PAH, which she sees by
11 looking at epigenetic methylation changes in blood cells
12 of firefighters. And what you can see here is a clear
13 dose-related change in the levels of this biomarker in
14 white blood cells with the years of firefighting service,
15 and it clearly again is related to years of service, not
16 the age.

17 We have this whole new approach for tox testing
18 the 21st century, we call it Tox21. This is a joint
19 program with NCATS, which is another center with NIH, and
20 EPA and FDA, which is involving high-throughput screening.
21 We have already screened well over 10,000 substances, 15
22 point concentration response curves, repeat each one done
23 three times. We have looked -- and this has focused so
24 far on nuclear receptor binding and measures of oxidative
25 stress.

1 And I can tell you that we're beginning to see
2 patterns of effects emerge. Clearly, these approaches are
3 going to be robust for prioritization and screening. Our
4 hope is eventually as we gather enough data, we may be
5 able to use them actually as replacements. We're also
6 using the robotic approach to look at differential
7 susceptibility.

8 You know, everybody is not the same and so we've
9 actually taken over a thousand different cell lines
10 represent from nine racially distinct ethnic populations.
11 And we screened these looking just at viability. And
12 again, you can see differential responses related to
13 different genetics in these populations.

14 And we -- because we have to begin to look at the
15 variability in the population that exists. And that's one
16 of the problems -- a tremendous problem that we have with
17 our rodent studies. We tend to use inbred strains of mice
18 or rats, or we use an outbred strain. But let me tell you
19 the outbred strains are not really so outbred. They're
20 actually fairly inbred.

21 And so there's this whole new approach to develop
22 the collaborative cross, where there are now up to 250
23 different strains, and they range across mousedom.

24 (Laughter.)

25 DR. BIRNBAUM: Okay. So you've got -- you know,

1 and then, in fact, what -- you can kind of lay these out
2 and you can look at something -- and this was done
3 beautifully at UNC, where they actually looked at
4 acetaminophen response in people. And you could lay 60 of
5 these mouse strains right along with all the people that
6 they had, right, from people who are very poor
7 metabolizers to people who were very, very rapid
8 metabolizers.

9 So that by using this new approach -- and the
10 diversity outbred is where you basically combine some of
11 these strains to give you again a way to deal with the
12 variability in the population, I think eventually this is
13 going to be a much more powerful way than using a single
14 inbred strain of animals. And again, every time I hear
15 someone say, "oh, okay, you know, I want to do some breast
16 cancer work". And I say, "Well, do you want a strain that
17 will never get breast cancer?"

18 (Laughter.)

19 DR. BIRNBAUM: "Do you want a strain that will
20 always get breast cancer?"

21 (Laughter.)

22 DR. BIRNBAUM: And then they look and say, "Well,
23 which one is representative of the human population?" And
24 I say, "Both", you know, because, in fact, we have that
25 variability in the population, and we need to understand

1 it.

2 So there's a new vision and mission for NIEHS
3 that will provide global leadership for innovative
4 research that improves public health by preventing disease
5 and disability. And our mission is to discover how the
6 environment affects people in order to promote healthier
7 lives.

8 Our strategic plan went on-line. We completed it
9 after a very inclusive stakeholder-driven process. It
10 went on-line last August 1st. It is really -- we see it
11 as a blueprint for the entire environmental health science
12 community. We can't do it alone.

13 There are the themes that have a broad scientific
14 context and the 11 specific goals. Many of our themes are
15 studying basic mechanisms and windows to susceptibility.
16 We're linking individual and population exposure to risk.
17 We think it's key to create better predictive models and
18 21st century tools. We're thinking -- we can't do any of
19 this unless we can communicate what we're finding, and
20 include diversity in all aspects of our research.

21 I should say one of our themes is health
22 disparities and global environmental health. We've got to
23 train a multi-disciplinary group of scientists. You
24 cannot do science in the 21st century, certainly
25 environmental health, human science, unless you have

1 transdisciplinary, multiple disciplinary teams. And we
2 need to improve coordination between government agencies,
3 as well as other stakeholder groups.

4 So thank you all for your attention.

5 (Applause.)

6 CHAIRPERSON LUDERER: Thank you, Dr. Birnbaum for
7 that very inspiring talk. We actually have a maybe a
8 couple minutes here for some questions from the Panel and
9 then we'll have more time for more questions and
10 discussion after Dr. Stapleton's talk. So, Panel members,
11 any kind of clarifying questions.

12 Dr. Quint.

13 PANEL MEMBER QUINT: Hi. Julia Quint. Thank you
14 for a wonderful presentation. Very inspiring. And I'm
15 just wondering how -- if there has been any conversation
16 with NIOSH. I work in occupational health. And your talk
17 just really emphasizes how far behind occupational health,
18 as a discipline is, in terms of managing chemicals,
19 identifying hazardous chemicals. And this probably will
20 change even more with the new hazard communication
21 regulation.

22 So, you know, we haven't dealt well with high
23 doses. We still aren't recognizing that TCE causes cancer
24 in terms of regulating it. So what is NIOSH's role in
25 this, or is there a role?

1 DR. BIRNBAUM: Yeah, so NIOSH -- CDC as a whole
2 is part of the National Toxicology Program, and
3 specifically NIOSH. So through NTP, we partner with them
4 extensively. We have also been involved in training some
5 of the Environmental Research Centers. Most of them have
6 been closed because NIOSH basically had that removed from
7 their budget year or two ago.

8 But we have some very close collaborations with
9 them. In addition, for example, in the area of
10 nanosafety. You know, we're all busy putting
11 nanomaterials on our bodies all the time, and we may be
12 taking them in our food and everything else. And we know
13 relatively little about the potential safety. So we're
14 partnering with them very intensely in that area, but a
15 number of other areas. And we do -- they do sit on the
16 Executive Committee of NTP as well.

17 PANEL MEMBER QUINT: Good.

18 CHAIRPERSON LUDERER: Dr. Wilson.

19 PANEL MEMBER WILSON: Mike Wilson from UC
20 Berkeley. And I just want to second my appreciation for
21 your talk. And I want to -- I just have two questions.

22 One is a follow up to Dr. Quint's question, and
23 that is, first, if NIOSH has entered in at all into the
24 arena of low-dose effects? And, you know, recognizing
25 that, you know, we're so, so far behind in terms of the

1 occupational exposure levels in the U.S. But is there any
2 interest or involvement by NIOSH, you know, to begin, you
3 know, just changing the nature of that discussion? That's
4 my first question and then I have a second one for you.

5 DR. BIRNBAUM: Okay. So the answer is, is they
6 are aware of what we're doing. They actually also sit as
7 ex-officio members of our National Advisory Council. So
8 they participate in that. They are also obviously members
9 of the -- not only the NTP Executive Committee, but they
10 sit as ex-officio members of the Board of Scientific
11 Counselors of NTP as well.

12 But I think that they are so overwhelmed by what
13 they're supposed -- what their challenges are, and they've
14 had such severe budget cuts, I mean, our budget
15 unfortunately now as of March 1st is not 760 million or --
16 it's down about 45 million because of sequestration.

17 But, you know, they were hit very badly in FY 11
18 and FY 12, so -- but we do try to -- I meet with John
19 Howard a couple times a year, just one on one, to talk
20 about new issues.

21 PANEL MEMBER WILSON: If I could follow that up
22 just quickly.

23 CHAIRPERSON LUDERER: We're going to try to hold
24 questions till after Dr. Stapleton's talk, and then we'll
25 have more time for discussion, since we're a little bit

1 behind here.

2 PANEL MEMBER WILSON: Great. Okay. Thanks.

3 DR. BIRNBAUM: Thank you.

4 (Applause.)

5 MS. HOOVER: Yeah. We'll have more time for a
6 full Panel discussion and interaction with both speakers.

7 (Thereupon an overhead presentation was
8 presented as follows.)

9 DR. STAPLETON: Well, good morning, everyone, and
10 thank you for this nice invitation to come here and speak
11 today on the little bit of the research that we've been
12 doing in my lab, and then provide a little bit of a
13 summary of some other research that's going on related to
14 flame retardants. And I'm sure everyone here is very
15 familiar with the issue of flame retardants, as it has hit
16 home specifically to a lot of issues here in California.

17 So I'm sure many of you are very familiar with
18 California's Technical Bulletin 117. You've probably seen
19 that label quite often, maybe even on your own furniture,
20 but to give you an introduction to this topic and some of
21 the research we've been doing in my lab recently.

22 Obviously, pentaBDE was a popular commercial
23 mixture used to meet TB 117 that affects residential
24 furniture use, primarily in California, but recently
25 affects furniture sold throughout the country. And

1 because of its properties related to persistence,
2 bioaccumulation, and toxicity, it was phased out.
3 However, there's very limited data available on potential
4 replacement chemicals for this.

5 And I have this table up here, and I know there's
6 a lot of information, and it's very small. And it's not
7 to have everyone scrutinize all these different
8 parameters, but this is just an example of a table that's
9 been provided by a program at the EPA called the Design
10 for the Environment, which has a role to provide
11 information on health effects, persistence, toxicity for
12 alternative flame retardants.

13 And this is one of the first assessments they
14 conducted for -- was for pentaBDE, where basically they're
15 looking for all viable chemicals on the market that could
16 be use as replacements, and then they evaluate them
17 typically through QSAR models for potential toxicity.

18 There's just two points I wanted to make is that
19 really a lot of the data on here is all from models,
20 because there are no data available on the research -- the
21 published peer-reviewed literature. Most of them are all
22 additive, similar to the penta mixture, meaning they're
23 not chemically bound to materials, more likely to leach
24 out.

25 And lastly that a lot of these were proprietary.

1 If you look on the left column, these are examples of the
2 different commercial mixtures on the market that can be
3 used in furniture to meet TB 117. And you can see they
4 say proprietary. Proprietary, proprietary, proprietary.

5 So under TSCA, all these chemicals can be
6 proprietary, and their chemicals structures or identity
7 can remain confidential. So from my perspective, this
8 raises a lot of questions. If we phased out the
9 pentaBDEs, TB 117 is still in place, what's going to be
10 the dominant chemicals on the market to meet this? What
11 are we going to know -- what information do we have on the
12 potential fate of these new flame retardants? Should
13 there be concerns about potential exposure and health
14 effects?

15 So this led to some studies in my laboratory
16 where, because this information is proprietary, there is
17 no way to access this information. We ended up spending a
18 lot of time and resources to actually screen consumer
19 products to get a better understanding of what chemicals
20 are being used to meet California's TB 117. And we've
21 done this for two different projects, both of which are
22 now peer-reviewed and published.

23 The first one was on baby products. Many infant
24 products meet TB 117. And then secondly just a few months
25 ago, we published a paper on the use of chemicals in

1 residential furniture, and in this case primarily couches.

2 So I want to go over some of the information we
3 learned from these two studies. The first was a study
4 screening baby products. We looked at 101 different baby
5 products. And these were typically products in use. And
6 we had volunteers that would actually go to these products
7 and take out a little piece of foam from the interior of
8 the product, and wrap it up foil. And Arlene Blum's group
9 from here in California helped us with the collection of
10 those samples. So some were from California. Some were
11 from other states in the United States.

12 And they were sent to my lab blind, and we
13 analyzed them using a lot of analytical techniques. So
14 examples of products we tested are things like car seats,
15 nursing pillows, some strollers, sleep positioners, the
16 mats that you put on changing tables, portable cribs. A
17 lot of these materials have foam and are considered
18 juvenile furniture and have that TB 117 label on them.

19 So after our testing, we found that 80 percent of
20 them did contain a flame retardant. And the most common
21 flame retardants that we identified was a chemical that
22 I'll use the acronym, TDCPP, or chlorinated tris, a new
23 mixture on the market, which is considered proprietary,
24 and until we found out what was in it, called Firemaster
25 550, and another mixture called V6, which I'll talk about

1 in a little bit.

2 We did find pentaBDE in five samples, but those
3 were all samples that were purchased prior to 2005 and the
4 phaseout. And we were able to use some of our more
5 advanced analytical skills to identify two new chlorinated
6 organophosphate mixtures that had not previously been
7 identified and are proprietary as well.

8 And I wanted to raise the point that, to my
9 knowledge, there are really no risk assessments conducted
10 on these types of products. They are certainly conducted
11 for furniture, couches. When you have these chemicals in
12 there, what's the potential for exposure. But an infant
13 sleeping on a sleep positioner with a flame retardant
14 spends a lot of time in very close contact to the surface
15 of that material, and they can migrate out. And for some
16 of these flame retardants, it is proposed that off-gassing
17 is the major route by which they escape from these
18 materials.

19 And so an infant that's sleeping there in very
20 close contact to the surface will receive a higher dose of
21 exposure than someone just 10 away after you get dilution
22 in the general room area. So, from my perspective, that's
23 an important concern.

24 And I am happy -- I was happy to hear that some
25 of the products have recently been exempted from TB 117,

1 and hear more maybe proposed recently as well.

2 I know a lot of people are not chemists, but I
3 wanted to put out some of the structures that we
4 identified. And throughout the talk I have several where
5 they are identified in red coloring. And the ones that
6 are in red coloring are chemicals that, to my knowledge, I
7 do not believe are on the biomonitoring list. So I wanted
8 to highlight those.

9 So most people might be familiar with all these
10 chlorinated tris compounds, which are the three chemicals
11 on the left TCEP, TCPP, and TDCPP. TDCPP, the one on the
12 bottom left, was just recently added to Prop 65. V6 is
13 the one in the upper right corner that is now on your
14 list, I noticed. It is being used. We found that in a
15 lot of nursing pillows actually.

16 The concern with V6 is that it has TCEP as an
17 impurity. And I'll come back to that. But some of the
18 mixtures on the market do have that as an impurity.

19 And then there's a very similarly structured
20 compound, we call it unknown OPFR. It's very similar to
21 V6. It just has slightly -- or longer alkyl changes. And
22 we've not yet found a manufacturer that's admitting to
23 using this, but we have very good data supporting it's out
24 there, and we have found patents for it. So we do believe
25 that it is being manufactured and used, and we know

1 nothing about this chemical as well.

2 And then triphenyl phosphate is found in almost
3 all flame retardants, and it's also used as a plasticizer.
4 So we pick it up quite frequently in a lot of the flame
5 retardant mixtures. It was actually used at the same
6 time. It was actually used with pentaBDE to meet TB 117,
7 but it's in a lot of the new mixtures as well. It's quite
8 frequently used.

9 And just further on V6 specifically, we actually
10 just published this paper last week, where we followed up
11 on a V6, because there are no measurements on V6, to my
12 knowledge. And we don't have standards for it. And so
13 what -- I was fortunate enough to have a new Ph.D. student
14 from China who actually called up some Chinese flame
15 retardant manufacturers, asked them if they'd be willing
16 to sell us some of their V6 and they said sure.

17 (Laughter.)

18 DR. STAPLETON: So they shopped us over a whole
19 kilogram of it.

20 (Laughter.)

21 DR. STAPLETON: And we were able to actually
22 purify it to use it as an analytical standard. And it
23 actually gave us the opportunity to see what the impurity
24 levels were in this mixture. And we actually found out
25 that TCEP, which is a carcinogen, was 14 percent by weight

1 in this impurity from the mixture from China.

2 So we've done some work now looking at both V6
3 and TCEP and dust samples and in the baby products. So
4 the same baby products we had screened before and
5 identified in V6, we went back and measured them. And
6 they're about five percent by weight as V6. And then we
7 see the TCEP in there about 10 percent of the V6 levels.

8 The levels of V6 in dust are lower than they are
9 for TCEP, but which is likely related to their physical
10 chemical properties, because it has a lower vapor pressure
11 than TCEP. But what was interesting is that levels of
12 TCEP and V6 were significantly correlated in the dust
13 samples.

14 So if you had higher V6, you had higher TCEP,
15 which to me suggests they have a similar source, which is
16 like the V6. So I do think the presence of TCEP in house
17 dust and dust in other micro environments is attributed to
18 V6, not all of it necessarily, but at least some of it.

19 So we also followed this up with another
20 screening study looking at residential furniture, and in
21 this case focusing specifically on couches, just to make
22 it more specific. And again, we had about 100 samples
23 that we screened, again, working with Arlene Blum's group
24 at the Green Science Policy Institute.

25 But this time, we used more -- a specific study

1 design to get more information and exactly when the
2 product was purchased, what State it was purchased in, did
3 it have the California TB 117 label on it or not primarily
4 to ascertain whether that label is a good screen for the
5 presence of flame retardants.

6 And what we found -- in most of these products
7 that we examined in our study were purchased between the
8 years of 1985 and 2010. So we had a good amount of data
9 before and after the phase out of the pentaBDE, which was
10 really nice. So, in this case, about 87 percent did
11 contain flame retardants.

12 The three most common flame retardants we
13 detected in this case were TDCPP again, pentaBDE --
14 although, as you'll see in a minute, most of that was
15 prior to 2005 again, and then Firemaster 550. But in this
16 case, we also again identified two new organophosphate
17 flame retardant mixtures that are likely proprietary, so
18 we could determine what their structures are. But again,
19 we don't have -- we have very little data on them. And
20 they were different than the ones we detected in the baby
21 products study, so I'll point that out.

22 This is actually a table that is in the paper.
23 And I will say that paper -- it's published in ES&T, but
24 it's open access, so it's available to anyone from the
25 public. You don't have to be a member to get that paper.

1 If anyone wants to go on-line, they can access it
2 themselves.

3 And this is just one of the tables in there, and
4 it provides a lot of information. And there's just a few
5 things that I wanted to point out.

6 Basically, and just like the baby product study,
7 we found that about five percent by weight of the foam was
8 the flame retardant material. It was very similar to the
9 baby products study. Although, you get a range. Some are
10 closer to 10 percent, some are one percent, but on average
11 they're five percent.

12 And we measured the concentration for some of
13 these chemicals in the products, but you'll notice that
14 the range -- the values will range quite a bit. And
15 that's because a lot of these are mixtures. And we only
16 have chemical standards for some of the components, not
17 all of them. So for some of these it might say it looks
18 like it's only two percent or less than one percent, but
19 that's because maybe we can only measure one of those
20 components, because there's no standards available by --
21 for the one -- for the flame retardants where we do have a
22 standard for either every flame retardant in that product,
23 it's about five percent by weight.

24 We did look at trend pre and post the penta
25 phaseout. And we found that prior to 2005, there was

1 higher use of flame retardants in California. There was
2 significant use of the penta, but there was also
3 significant use of the TDCPP. And I think most people
4 previously believed that it was primarily penta or PBDEs
5 being used to meet TB 117, but our data demonstrates that
6 TDCPP has been used for quite a long time.

7 And then after 2005, we found this growing number
8 of flame retardants on the market, because primarily it
9 was only the two before 2005, and now we're finding at
10 least six or seven used in furniture after 2005. And
11 basically it's being used everywhere. We don't see a
12 difference between California and the other states. So,
13 in fact, TB 117 does seem to be a de facto standard for
14 the whole country.

15 And when we tried to use or look at the
16 information on TB 117 label, we found that the presence of
17 a label certainly indicated that flame retardants were
18 there, but a lack of a label did not indicate the absence
19 of a flame retardant. So if it doesn't have a label on
20 it, that doesn't mean it doesn't have a flame retardant on
21 it is basically the message.

22 So I just wanted to briefly talk about the two
23 mixtures we identified in the study. And so some of these
24 are on the biomonitoring list and some are not. And it
25 might be hard to see the red numbers on here.

1 Numbers one and two are on your list, but numbers
2 three and four are not. And so this is one of the new
3 mixtures. I call it TBPP. It is a mixture. And they're
4 all non-halogenated aromatic phosphates. Four is
5 tris(4-tert-butyl) phenyl phosphate. And basically the
6 numbers two and three are just isomers of that mixture.

7 We found this in only eight samples, but I will
8 say we've actually been working with furniture
9 manufacturers in North Carolina and elsewhere when they
10 wanted to move away from TDCPP, because of Prop 65. So
11 they sent us samples to screen to make sure their foam
12 suppliers had really stopped using it, which actually was
13 nice, because it gave me an opportunity to see what they
14 were all switching to.

15 (Laughter.)

16 DR. STAPLETON: And a lot of them had moved to
17 this. So it was either Firemaster 550 or this mixture,
18 which is why I do think this one might become more
19 important in the future, if TB 1 stays around or if we
20 still continue to use flame retardants in foam, because
21 there are more people moving to this mixture.

22 And, to my knowledge, we don't know very much
23 about some of these mixtures at all, or some of these
24 congeners.

25 The other mixture we identified -- and this was

1 only in two samples, and I've seen a little bit use of
2 this mixture in other items, not as much as the previous
3 mixture. But this mixture has again triphenyl phosphate,
4 which is often in these products.

5 And then this has methyl phenyl diphenyl
6 phosphate or bis(methyl phenyl) diphenyl phosphate. And I
7 don't think these are on the list. Although, I'd have to
8 go back and check again. But what's concerning to me is
9 that these are a very similar structure to tricresyl
10 phosphate.

11 And now I had a standard for tricresyl phosphate
12 and these did not match that standard. So this tells me --
13 or tricresyl phosphate has the methyl group in the ortho
14 position, so I think this is likely in the meta or the
15 para position, but again we don't have standards to
16 confirm this. We can only tell what its structure looks
17 like. We can't tell the exact position of some of the
18 substituting groups.

19 So this one might grow in use in the future. We
20 don't know, and we're hoping we can keep an eye on some of
21 the -- do some more of these types of studies to better
22 understand where the market is moving and what flame
23 retardants are more commonly used.

24 And this also provides us an opportunity to start
25 looking for them in house dust samples, which is a primary

1 route of exposure to the human population. And I'll talk
2 a little bit about that.

3 Before I do, I wanted to mention a few other
4 studies in the use of flame retardants in consumer
5 products that I've seen. HBCD, a brominated flame
6 retardant, which is on the list and is fairly persistent
7 and there are concerns about effects on thyroid hormone
8 regulation.

9 It is also used in textile applications. And
10 there was a paper that came out of Japan where they looked
11 at HBCD in curtains. And they did find it in curtains.
12 They also found one with decaBDE in there as well at about
13 two to four percent by weight. And this is something to
14 my knowledge people haven't looked at a lot.

15 We do know it's used in insulation, but there
16 also are textile applications, and this could be a source
17 of exposure in the home. And secondly there was a paper
18 on the presence of the deca in TVs. And this is, you
19 know, a fairly well standard -- or common knowledge now.

20 Basically 10 to 15 percent by weight of the
21 casings on TVs are often decaBDE. And now, hopefully that
22 market will be changing as the phaseout of deca hopefully
23 goes through later this year, but most of the
24 replacements, at least that we've seen in our lab, are
25 decabromodiphenyl ethane, which is basically the same

1 chemical. You just change the ether linkage to an ethane
2 group. So there are concerns about similar persistence
3 and effects on the environment.

4 So these are two other brominated flame
5 retardants that are also on the market right now, which I
6 do not believe are on the list. One is basically a
7 triazine-like compound. It's been detected in some
8 studies conducted over in China, used in polypropylene,
9 polyethylene, polystyrene. Based on the structure, it's
10 likely to be also persistent.

11 And OBIND, is basically a brominated indane, on
12 the right has also recently been detected in bird eggs and
13 some other areas in China. And actually at the meeting
14 this week, I saw more studies focusing on this brominated
15 indane, and they have found it in house dust as well. And
16 that's primarily used in electronic products. But we
17 might expect to see more of that occurring in the future
18 as well.

19 So I know everyone is familiar here with the fact
20 that, you know, risk is a function of both exposure and
21 effects. And I put this up here to kind of make a point
22 that while we're lacking a lot of toxicity data or health
23 studies for some of these flame retardants, but right now
24 it might be easier to characterize exposure to some of
25 these compounds. And so my interest has been trying to

1 focus on the flame retardants where we know exposure is
2 great, that you're receiving higher levels of exposure in
3 indoor environments particularly. And maybe that's a way
4 to help prioritize where our research efforts should go.

5 So I just wanted to focus a bit on measurements
6 of some of these flame retardants in indoor dust. And
7 this is good timing, because right here in California,
8 there was study just published just a few months ago
9 looking at some of these flame retardants, both
10 historically the PBDEs and some of these new flame
11 retardants in dust, collected in 2011 in this case
12 reported on by Robin Dodson from the Silent Spring
13 Institute.

14 And the point I want to make here is that most of
15 these are detected quite frequently. This column it says
16 percent detect. It's almost a hundred percent for all of
17 them, meaning it's a very ubiquitous compound in a lot of
18 dust samples. It was small number of samples, 16, but I
19 can tell you the data coming out of my lab from samples in
20 North Carolina finds almost exactly the same thing. We
21 see very similar levels, very similar detection limits.

22 So there's a range in values and these are log
23 normally distributed, meaning that some homes have very
24 high levels, some people have very low levels, and likely
25 due to different sources, but it's impossible to say,

1 because you can't identify what the source is in the home.

2 So when we're kind of characterizing risk, we
3 have to remember that there is this part of the
4 population, even though we're only five percent, five
5 percent of the population is a lot of number of
6 individuals that are receiving very high exposure, and
7 again, not to one chemical at a time, but mixtures of
8 these chemicals.

9 Some of them are going up. Some of them are
10 going down. Some of them are fairly stable. But these
11 new mixtures, there's a -- I'm pointing out here, TCEP,
12 TCPP, and TDCPP are these organophosphates called the
13 chlorinated trises. TPP is in a lot of flame retardants.
14 It's also in Firemaster 550 with TBB and TBPH. And these
15 are detected quite frequently and they're reaching levels
16 closed to PBDEs, not quite there yet.

17 But we also know that there are other sources of
18 some of these components outside of Firemaster 550, so
19 that complicates the issue a little bit.

20 So I want to talk a little bit about known health
21 effects for TDCPP and Firemaster 550 for just a moment.
22 I'm sure a lot of people in California are quite familiar
23 TDCPP. And I know Arlene Blum's group and Bruce Ames did
24 some work back on this in the 70s suggesting it was a
25 mutagen and it was phased out from use in children's

1 pajamas.

2 But then low and behold our data says it's been
3 use in furniture for, you know, at least several decades,
4 and that's why levels are -- in indoor dust are likely
5 very high. The NTP has conducted a study on TDCPP and
6 found increased incidence of tumors. It is considered a
7 probable human carcinogen. And there has been some work
8 looking at exposure levels by the Consumer Products Safety
9 Commission.

10 They have this report that came out in 2006, but
11 again it's all on modeled data, but they do have an
12 exposure level that's where they considered to be at
13 increased risk for cancer, based on that report. But
14 again, it's based on a furniture item in a room with
15 children, and they're not considering exposure from all
16 these baby products, which I think could be much higher.
17 So that's important to state.

18 My group has been working with some
19 pharmacologists at Duke that have done a lot of work on
20 organophosphate pesticides. And using in vitro models or
21 cell cultures, some of our data did suggest that TDCPP may
22 be a neurotoxicant, and had somewhat similar properties as
23 chlorpyrifos, which is a little bit concerning.

24 And we've also recently found that TDCPP is being
25 used as -- to meet a separate flammability standard called

1 CPAI-84, which is a voluntary fabric flammability
2 standard. And now we don't have this published, and I'm
3 not sure if we'll get the opportunity to do it, but we
4 have found it in tents. It's used in camping equipment
5 tents, but we've also found in children's tents and
6 tunnels, which will probably be a little bit concerning.
7 I've actually spoken to the CPSC about this, and I'm
8 hoping that's being phased out now.

9 In terms of tracking exposure, it's important to
10 have a biomarker, and this is something we've worked on in
11 our lab, by first looking into literature and then trying
12 to characterize the metabolism and half-life in the body.

13 Now, TDCPP as an organophosphate is fairly
14 rapidly metabolized, but we have developed a method to
15 monitor this metabolite in urine, and we've been doing
16 this now with several collaborators. And I'm happy to say
17 our two first papers on this were just published very
18 recently. The first was in a cohort of men -- I think
19 actually Linda was referring to this, is with Russ
20 Hauser's group -- of 45 men in a fertility study where we
21 looked at repeated measures of these metabolites in urine,
22 so you get an understanding of how a one-time urine
23 measurement might replicate average exposure over time.

24 And then also looking at exposure in office
25 co-works -- office co-workers -- and this was actually

1 piggybacking on a PBDE study -- but to give us a better
2 understanding of whether levels in dust might be
3 associated with your urinary levels of this metabolite.

4 So this is some of the data again that was just
5 published. We have very high detection frequencies of
6 these metabolites. They're log normally distributed, like
7 what you see for parent compounds and the indoor dust.
8 Geometric mean values are 135 and 408 picograms per ml.

9 But what was really nice in this study, primarily
10 from the study published by Meeker et al. is that if
11 interclass correlation coefficients were fairly high,
12 meaning that if you have a one-time urine sample, it
13 should be fairly representative of what the average
14 exposure is. Because what his group did is take repeated
15 urine samples from these men over time, some within a
16 period of two weeks, some within a period of three months.
17 And I know it looks like there's a bunch of scatter in
18 that graph, but if you calculate the correlation
19 coefficients they're up 0.62, which, to my knowledge, is
20 actually much higher than for organophosphate pesticides.
21 And just something I was hoping I was going to talk to Asa
22 about a little bit later. He knows more about this than
23 me.

24 But it does suggest that a one-time urine sample
25 might be very helpful in determining chronic average

1 exposure in the home, so that was very encouraging.

2 I also wanted to talk about Firemaster 550 as
3 this is still being used. It's the second most common
4 flame retardant we've picked up both in the baby products
5 and residential furniture. It was proprietary until my
6 colleague actually got a sample of it from Chemtura, and
7 sent it over to me and we figured out what was in it.

8 And there are basically four ingredients, two of
9 which are these aromatic organophosphates, the other two
10 which brominated. There's been a lot of focus on the
11 brominated ones. And I know there's often concerns about
12 the halogenated chemicals. And EPA actually issued a
13 consent order for more testing, which I thought was the
14 whole mixture, until I realized about six months ago that
15 that actually was just on the two brominated components
16 and not the full mixture.

17 And I knew they had found something when Chemtura
18 was doing -- was conducting these additional testing on
19 it, but nobody knew what it was. So I actually teamed up
20 with a colleague of mine from NC State, who is a
21 reproductive toxicologist. And we conducted our own
22 experiment on Firemaster 550, which I'll talk about in
23 just a minute, which I think is somewhat enlightening.

24 But before I do, I also wanted to make out the --
25 mention the point that the ITPs, Structure A in that

1 diagram, they are actually a mixture of these
2 isopropylated triaryl phosphates. But my colleague, Dave
3 Volz, actually just conducted a study with these compounds
4 in fish which can sometimes be used as a model for humans.
5 But he found that there were some dioxin-like toxicity
6 associated with exposure to ITPs.

7 Now, it certainly requires a bit of follow-up
8 research. We don't know if there's impurities driving
9 this or not, but it was fairly potent in fish, as an
10 agonizing AHR, which is known to be associated with dioxin
11 toxicity. So that's something we also want to follow up
12 on.

13 Now, again, my lab is very interested in the
14 metabolism, so we're trying to understand the half-life in
15 body, and whether or not we can develop biomarkers for the
16 parents for the metabolites. I had a Ph.D. student
17 conduct some testing on these components in Firemaster 550
18 to examine metabolism using both rat tissues and human
19 tissues. And what we found -- and here we focused on the
20 brominated components because we have standards for those.
21 We don't have standards for the ITPs.

22 We found that TBB was fairly rapidly metabolized
23 to a brominated benzoic acid, whereas the phthalate was
24 not very well metabolized, which is no big surprise to me,
25 being it's a very large molecule. And I will mention that

1 this TBPH compound is basically the brominated analog of
2 DEHP, which is a phthalate there's a lot of concerns
3 about.

4 So really all they did is put bromine atoms on
5 DHP and it's used as a flame retardant, both in Firemaster
6 550 and both in a mixture called DP-45, which is used in
7 electrical applications.

8 So it does seem like that it would be more stable
9 in the body if it does bioaccumulate. Although, I'm not
10 sure how much would bioaccumulate given its very large
11 size.

12 But as I said, we worked with Heather Patisaul at
13 NC State to conduct a small study on -- five minutes.
14 Okay -- in vivo exposure study. And so what we did is we
15 exposed pregnant rats to Firemaster 550 from gestational
16 day 6 to postnatal day 21, and looked at a few effects in
17 the parent -- the pregnant dams, but then also followed
18 the pups up through seven months of age.

19 And as I said, EPA asked the manufacturer to do
20 more testing on Firemaster 550, but they tested at very
21 high doses is one point. And again, it was only the
22 brominated compounds. But they can -- they found all
23 these effects at low doses. But because those effects did
24 not increase with dose, they said that was spurious and
25 unrelated to treatment. So they had a number of

1 significant effects at the lowest dose they measured
2 relative to control, but because they didn't increase with
3 dose, spurious unrelated treatment. We're going to call
4 the no observable adverse effect level 50 mg per kg.

5 Now, our highest dose we tested was only 3 mg per
6 kg, so an order of magnitude lower than that. I want to
7 point that out. It was very limited, because there was
8 only three rats per treatment. It was really what we
9 could afford to do at the time.

10 And we followed these rats up to seven months of
11 age. Just a little bit of data on this. This is now
12 published. Looking in the liver tissues first, we looked
13 at the parent brominated compounds and their potential
14 metabolites. And the phthalate did accumulate in the
15 liver of these rats in a dose-dependent manner. And that
16 was higher than the TBB compound. But we found that there
17 were higher concentrations of the TBB metabolite in the
18 liver than there were the parent compound, again matching
19 what we saw in vitro, that this one is rapidly
20 metabolized, the other one is not. So it was interesting.

21 We found effects on thyroid hormone levels in the
22 pregnant rats. So with increasing dose, there were
23 increasing concentrations of thyroxine, which is a thyroid
24 hormone in the blood. It was statistically significant,
25 but not for the other thyroid hormone T-3, but again that

1 was a very small sample size.

2 But what was most interesting to us, which we
3 never expected to find was obesity in the pups. So these
4 are the pups and their body weight over the seven months
5 period, both in males and females. And it's probably hard
6 to see with the different doses.

7 They were actually statistically heavier -- or
8 the pups were heavier at postnatal day 10, but then that
9 difference between the controls and the exposed increased
10 over time, such that by seven months of age, the males
11 were 32 percent heavier than controls, and the females
12 were 23 percent heavier than controls.

13 So there's overweight and then there's obese.
14 And these rats were obese. We actually tried to run them
15 on the behavioral mazes that Heather has in her labs. And
16 you put them on, and they don't fit on the maze, because
17 they were hanging over, which was really, you know -- I
18 mean, you can't even evaluate it at this point.

19 (Laughter.)

20 DR. STAPLETON: But it was just shocking to us.
21 We never expected to find this. Certainly, again it's
22 limited in scope. And we want to repeat this on a larger
23 scale. But to me this is very concerning, because again
24 the only exposure they had was in utero and/or through
25 lactational transfer. The pups themselves were never

1 exposed. It was only the pregnant dams.

2 So this is something we're hoping we can follow
3 up on in the future, and test this on a larger number, and
4 look at what the internal dose is, like Linda mentioned,
5 and try to measure metabolites in urine, so we can make
6 some comparisons with the human population.

7 But based on Firemaster 550, we do know -- we do
8 get some accumulation of the brominated components, which
9 we can measure. It's suggestive it may be an endocrine
10 disruptor, because we are seeing effects on thyroid
11 hormone levels.

12 We also saw effects on cardio function through
13 another collaborator at the University of Cincinnati
14 suggesting it can be causing metabolic syndrome. It may
15 be related to heart disease. We saw early puberty in the
16 female pups also, but that's, as Linda said, it's
17 associated with obesity, so we can't say if that's related
18 to obesity or a separate effect.

19 But again, this obesity was the most important
20 endpoint, from my perspective, that we observed. So, to
21 me, suggesting it might be -- or one of its components, at
22 least, one of these chemical obesogens. And I don't think
23 the no observable adverse effect level should be set at 50
24 mg per kg. As I said, these levels were an order of
25 magnitude lower than that value.

1 And just to kind of tie this up in terms of
2 biomonitoring, I want to try to summarize what we know
3 about the flame retardants at least, where we can monitor
4 some of these, whether blood is the best matrix, breast
5 milk, or urine.

6 For a lot of the aromatic brominated compounds, I
7 do think blood and serum are probably the best route.
8 I've highlighted TBBPA here, primarily because I've seen
9 some studies where they're measuring it in blood, but I've
10 also seen some in urine. So I do think blood would
11 probably be better, but I've seen people trying to use
12 other matrices to evaluate exposure.

13 Now, for the organophosphate flame retardants, I
14 do think urine is probably the way to go here. They're
15 just too rapidly metabolized in the body. The biomarkers
16 seem to be working out fairly well right now. However,
17 the Firemaster 550, we'll have to see what happens. We
18 are actually working on a metabolite, a urinary method for
19 some of the metabolites of Firemaster 550 right now. And
20 I'm hoping that might prove to be useful in terms of
21 monitoring exposure to that compound in the future, so
22 we'll have to see.

23 I wanted to end with some -- just a few points of
24 some of the other work we've been doing in my lab trying
25 to quantify exposure. I've been very interested in

1 children's exposure to these compounds, because they're so
2 abundant in indoor dust, and we know that dust is an
3 important exposure pathway for children.

4 So working with an epidemiologist at Boston
5 University, we conducted a study where we actually
6 recruited a cohort of toddlers in North Carolina into a
7 study, and we collected dust from their homes. We
8 collected handwipes just to see what residues were on the
9 child's hands, and then we collected blood from these
10 children. This was 83 children.

11 And what we found is that all three of those
12 matrices were highly correlated -- were significantly
13 correlated, but the best association we observed was
14 between the handwipes and the serum, which was encouraging
15 for us. We actually could predict more of the variability
16 in the serum measurements just by measuring what was on
17 their hands than we could by looking at what was in the
18 dust or looking at effects by socioeconomic status, age,
19 et cetera. It was strongest predictor of their serum
20 levels accounting for more than 30 percent of the
21 variability.

22 So this has been exciting for me, and I'm hoping
23 we can actually use this approach to measure exposure to
24 other compounds as well, because handwipes are very easy
25 to collect, they're very easy to store, and they have

1 fewer interferences than you get with serum or dust,
2 because those are very complicated matrices you've got.

3 In dust, you've got a lot of soil components.
4 You've got components from dander in mice and fabrics and
5 materials. And in blood you've got a lot of proteins and
6 lipids and carbohydrates. But in a handwipe, you're
7 really just collecting some of the surface oils and
8 whatever dust particles are on the hand. And so we
9 actually -- it's much easier to run these samples right
10 after you extract them. It requires fewer clean-up steps,
11 which is really nice.

12 So, I mean, I'm interested in looking in the
13 future of maybe we can characterize -- use handwipes as
14 trying to measure the exposome, because there are a lot of
15 chemicals that are very abundant in indoor dust. We've
16 only done this on PBDEs and now a few other flame
17 retardants, but it's something we have to explore in the
18 future. So it's something that may -- I won't say may --
19 prove to be very handy in the future, if we're kind of
20 getting to this exposure level in the environment,
21 particularly for things that are more well metabolized.

22 And as long as we can work more on the model, so
23 what does it mean, what's on your hand, how much actually
24 gets into your mouth, and that's where we also need
25 improvement in understanding of exposure and body burdens.

1 So I'm just going to end there, basically saying
2 I think we certainly need more understanding of human
3 health effects, both from TDCPP and Firemaster 550, and
4 really more focus on exposure to these classes of flame
5 retardants, because Linda said this very well, there are
6 all these mixtures. We're in a chemical soup. And
7 particularly for children who get higher exposure to these
8 chemicals from dust, the abundance of flame retardants I
9 think should be addressed in relation to these mixture
10 exposures.

11 Then you have other things in dust, like the
12 phthalates and the PFCs and some of the pesticides still.
13 So it's obviously very complicated, but I'm hoping we can
14 address this in the future. And I just want to end by
15 thanking obviously my collaborators. A lot of my funding
16 does come NIEHS, so I should thank Linda.

17 (Laughter.)

18 DR. STAPLETON: And thank a lot of actually my
19 students who do all the work. So I will end there and
20 take any questions, if there are any.

21 (Applause.)

22 CHAIRPERSON LUDERER: Thank you very much, Dr.
23 Stapleton for that fascinating talk.

24 Why don't we take a few clarifying questions from
25 the Panel and then we can move on into discussion with

1 both of the speakers.

2 Dr. McKone.

3 PANEL MEMBER MCKONE: I have a really broad
4 comment, but I'll save that, about the exposome. I guess
5 I'm -- you know, the handwipes and the issue of, you know,
6 what you're really seeing when you take a handwipe. I
7 think it's very important, we're doing some work on dermal
8 uptake and actually how much chemical -- there are a lot
9 of semi-volatile chemicals where actually in theory the
10 amount that goes into your skin is much greater than what
11 you can get into your lungs, because you can only take in
12 like some -- you know, less than a cubic meter an hour,
13 but your skin can clear some -- depending upon the
14 chemical properties it can clear the equivalent of six
15 cubic or eight cubic meters per hour of chemical content
16 in air, and your skin can store that much too. So it's
17 very interesting.

18 So are you -- I guess my question is, are you
19 kind of following up on why it is that the skin is
20 effective? Is it -- you know, I mean our idea is it's
21 kind of a nice measure of chemical potential in the
22 environment.

23 DR. STAPLETON: All right. So most of my data
24 are from toddlers. So primarily between the ages of two
25 and four right now. And so we know that they have higher

1 exposure to dust from crawling around and touching things
2 in the home. So I do think some of it's just from dust
3 particles in the home or maybe there could be particles
4 settling on our skin, but I also think that the handwipes
5 might be more valuable in assessing exposure from direct
6 contacts with products that could contain -- because I've
7 always wondered if you're touching your couch, you put
8 your hand on your TV, is there any direct partitioning of
9 these flame retardants to the surface soils in your skin.
10 And that we could account for with handwipes, where you
11 can't get from biomonitoring, air, or dust levels.

12 So I'm hoping this will be nice to kind of
13 capture more of those exposure pathways. Although, I
14 still think there's a lot we don't know. Obviously, we're
15 just starting to do this work now. We are assessing
16 whether or not hand washing is immediate or how much is
17 removed by hand washing.

18 And while we see some differences, even if you
19 wash your hand within an hour, for some of these flame
20 retardants there's no difference if you wash your hands
21 within an hour versus, you know, four hours ago. But it
22 is something we're looking into.

23 PANEL MEMBER MCKONE: Yeah. Well, I'd like to
24 talk more about this, because one of the things that comes
25 up -- we used to work with plants, you know, vegetation

1 that sit out. They don't crawl around or anything. But
2 when you look at dioxins in the cuticle level of plants,
3 they basically equilibrate with the atmosphere. And even
4 though the atmosphere is at really low chemical potential,
5 the partition coefficient is so enormous, that they're the
6 sentinels right, the lipid layer?

7 We aren't that much different, right? I mean,
8 we're coated with this nice lipid layer and we walk
9 around. So this whole idea -- you know, we really
10 question the idea whether you even have to touch a surface
11 to come into equilibrium with the chemistry of your
12 environment.

13 DR. STAPLETON: I will say that we've done
14 comparisons on the front of your hands versus the back of
15 hands, and they are higher on the front, yeah. But I
16 agree with you, and actually have had this discussion with
17 Charlie Wechsler and Bill Nazaroff about that idea too.

18 PANEL MEMBER MCKONE: Okay. Great. The same
19 mindset.

20 CHAIRPERSON LUDERER: Any other clarifying
21 questions from Panel members before we move on to the
22 discussion?

23 Dr. Bradman.

24 PANEL MEMBER BRADMAN: I just wanted to ask. For
25 the handwipes, what were you wiping them with, because

1 this has been an issue? I've been involved in some
2 occupational studies and we were actually advised to avoid
3 things, for example, like isopropanol, because it could
4 facilitate exposure of the soluble toxicant. So I'm
5 curious what method is used?

6 DR. STAPLETON: It's exactly what we used is
7 isopropyl alcohol. We put it on a sterile gauze wipe and
8 just rubbed the entire surface area of the hand. So I
9 don't understand how it would increase uptake though.

10 PANEL MEMBER BRADMAN: This is an issue that --
11 the method you talked about is the standard method I think
12 that probably EPA started with NOPE study back 20 years
13 ago. And I was involved in a study with NIOSH where we
14 were looking at pesticide exposure. And their policy
15 actually was to avoid -- and also DPR here in California
16 is to avoid use of alcohols or other solvents in
17 handwipes, and rather use something that would -- like a
18 detergent or surfactant that would physically, you know,
19 remove the dislodgeable layer.

20 There was also some concern that use of a solvent
21 could actually draw material out of the skin. And I
22 actually -- I was curious I wonder if that might be one
23 reason for the better correlations.

24 But I agree that handwipes in general are easier
25 and certainly less invasive when you're talking about

1 collecting blood from young children.

2 DR. STAPLETON: Right. Right. Well, I know
3 there has been a lot of questions asked about reverse
4 causation, right, which is why we started doing some of
5 the work looking at the front versus the back of the hand.
6 So the differences we're seeing does suggest its contact
7 with issues and not necessarily reverse causation.

8 But we've actually been trying to do experiments
9 where we put gloves on our hands. You clean and put a
10 glove on, does anything come out?

11 But the isopropyl alcohol is just rubbing
12 alcohol. I mean people use it on their body all the time.
13 It doesn't seem like it's a concern, at least for the
14 health of the individual participating. Although,
15 there -- whether the question is you're picking up more
16 with the alcohol related to what would be transferred is a
17 different issue too. Like if you put your hand in the
18 mouth, what's going to be ingested versus what you pick up
19 on alcohol. I mean, that's where I said we need more
20 understanding of these hand-to-mouth contact models to
21 really understand it.

22 But from the data we have so far, I find it very
23 encouraging that it was the strongest predictor of the
24 serum levels in the kids, but there's still a lot we need
25 to do, I think.

1 CHAIRPERSON LUDERER: Okay. If there are no more
2 clarifying questions for Dr. Stapleton, then maybe we can
3 move on into sort of a discussion of both of the talks on
4 the Panel. Sara, did you have a comment?

5 MS. HOOVER: Actually, I do have a comment, but
6 I'm also helping -- so how we're going to do it is Heather
7 and Linda will be right here and you guys will be speaking
8 into this mic, which you have to apparently almost put in
9 your mouth to have it pick up.

10 (Laughter.)

11 MS. HOOVER: Just to be aware of that.

12 I did want to say one thing about a comment that
13 Heather was making in her talk. We actually asked Heather
14 to take a look at our designated list, and see if there
15 was anything we didn't have listed out. So that was what
16 her comments were in her talk.

17 However, I did want to say that our entire
18 category of brominated and chlorinated flame retardants
19 are actually -- like the entire group are on the list.
20 They're just not explicitly listed out. So we're going to
21 take the ones that Heather identified, and we'll add them
22 to those categories, which is a fortunate -- you know, our
23 kind of proactive approach of identifying them as a class
24 means we can just literally go back upstairs and type them
25 in, and they're on the list.

1 And that was the same thing that's true for
2 non-halogenated aromatic phosphates. So I just, again, a
3 plug for, you know, looking at things as groups or classes
4 was very helpful.

5 CHAIRPERSON LUDERER: All right. I know Dr.
6 Cranor had a question. Did you want to -- we'll start
7 with that.

8 PANEL MEMBER CRANOR: I actually had two
9 questions for Linda. Let's see, one is a question that
10 your answer might help us. We're a guidance panel for
11 biomonitoring. Are there things that you can see or
12 anticipate that biomonitoring might do that would lead to
13 better, quicker protections for people? That's one
14 question.

15 DR. BIRNBAUM: Well, as you know, NIEHS and NTP
16 are research programs. They're not regulatory programs.
17 NTP certainly directly feeds into the regulatory --
18 directly feeds -- okay, you have to make love to it.

19 (Laughter.)

20 DR. BIRNBAUM: Directly feeds into the regulatory
21 agenda. And, you know, actually EPA and CPSC and DOD, for
22 example, all sit on -- FDA all sit on its executive
23 committee. So there's a lot of -- if new information is
24 found, they get the information pretty quickly. And we do
25 try to proactively let regulatory agencies and work with

1 them as new data becomes available.

2 I think in the current political environment --
3 maybe this isn't going to be politically appropriate to
4 say, but I think what's happening is the federal
5 government is kind of paralyzed, and so the States drive
6 the regulatory agenda, which is good in some ways, because
7 it makes things happen. But in other ways, it's very
8 difficult, because different States have different
9 regulations, which becomes a problem. But I keep saying
10 as California goes, so goes the nation.

11 (Laughter.)

12 PANEL MEMBER CRANOR: Well, I appreciate that. I
13 guess the concern I have is biomonitoring is, of course,
14 it's always after the fact. It's always after the
15 exposure we're trying to pick up what the exposures are.
16 Can we improve on that, in any way?

17 DR. BIRNBAUM: Well, you're really moving to the
18 issue of how do we prevent exposures from beginning. You
19 know, so we are trying to move toxicology into a
20 predictive science as opposed to a descriptive science.
21 And by doing lots of screening and prioritization up front
22 as new chemicals begin to become on the market, maybe we
23 can identify which ones we're concerned with, and then
24 possibly develop approaches to look for them in the
25 environment, hopefully before they get to people, or

1 identify bad actors before they ever get to people.

2 I mean, your right, when you do biomonitoring,
3 you already know exposure has occurred.

4 PANEL MEMBER CRANOR: Right.

5 DR. BIRNBAUM: Sometimes biomonitoring is
6 encouraging though, when you can see, you know, after a
7 regulatory action or a voluntary action is taken, and then
8 you see the levels of biomonitoring drop. I mean, that's
9 good news.

10 PANEL MEMBER CRANOR: My other question you just
11 alluded to, which was the high throughput screening. I've
12 talked to people at EPA that are very worried about that,
13 that that will just become a kind of sham enterprise for
14 companies to generate a lot of high-throughput screening.
15 And, at the end of the day, you may not know false
16 positives, false negatives and so forth. Do you have
17 considerable confidence in the high-throughput screening
18 you're developing?

19 DR. BIRNBAUM: So if you had asked me that
20 question a couple years ago, I would have been extremely
21 skeptical, because I think -- I mean, I kind of was used
22 to some of the approaches where you were looking at ligand
23 binding or antagonism and that was it.

24 I think when you start running through large, not
25 only large numbers of chemicals, you know, tens of

1 thousands, as opposed to hundreds, at best, and you start
2 looking for loads of different responses, and I think not
3 only using say -- in our program, we're looking at human
4 cells, where again we're starting to look at this
5 variability across the human cell population. We're
6 beginning to talk about using stem cells. We're talking
7 about using different kinds of high-throughput testing,
8 not only of cellular, but also there are opportunities now
9 to do organ-on-a-chip kind of approaches, where you can
10 actually make something that functions, in many ways, like
11 a lung by putting on the appropriate kind of cell types,
12 by putting in mechanical stress on the system, you can
13 actually get cells to differentiate to give you something
14 that very much functions like a beating lung.

15 The same thing kind of thing can happen with
16 epithelium and endothelium you can actually get -- and you
17 put in a peristaltic-like motion and all of a sudden you
18 actually -- these cells transform and give you villi and
19 crypt cells and all this kind of thing. So I think
20 there's a lot of opportunities to focus as we go forward.

21 I personally think for the next certainly five,
22 maybe ten years, much of this is going to be in a
23 screening and prioritizing mode, but eventually -- I have
24 high hopes.

25 Now, I should say not only are we doing the

1 high-throughput screening with in vitro, you know, just
2 sometimes non-cellular systems, cellular systems, organ
3 systems, but we're also looking at mid-throughput kinds of
4 systems, so that we are, you know, doing a lot of work
5 using C. Elegans, you know, which has a beautifully
6 defined genome. Everyone of its 900, and I think it's, 60
7 cells or something function and a developmental profile is
8 known.

9 And we're also very excited about the
10 opportunity -- obviously, Drosophila continues to be used
11 for many things, but a lot of work being done with
12 zebrafish, which I happen to love. And they are being
13 developed in really a pretty high-through put mode.

14 And when you deal with zebrafish, you're dealing
15 not with a mammalian but with a vertebrate system at
16 least, and you can look at developmental changes, and then
17 you can actually look throughout the whole lifespan of the
18 zebrafish, which is pretty short. I think it's like three
19 months. So I think there's a lot of opportunity.

20 Through -- we are legislatively mandated to have
21 a group that oversees a 15 federal agency member
22 committee, called ICCVAM. And we have just -- we're in
23 the process of refocusing ICCVAM to actually address many
24 of the needs that the regulatory agencies are going to
25 have and how do we use this high-throughput and

1 mid-throughput kind of data. So we are moving in that
2 direction.

3 PANEL MEMBER CRANOR: Thank you.

4 CHAIRPERSON LUDERER: Dr. McKone.

5 PANEL MEMBER MCKONE: This is my broader
6 question. And thanks. Those are both really great
7 presentations, and stimulated I think a lot of discussions
8 for us.

9 For me, I wanted to -- and I'll reveal my bias
10 being on the Exposure Science 21st Century Committee. And
11 one of the things we really struggled with was the
12 exposome and what it is, and how we're going to monitor
13 it. And one of the really interesting things -- there are
14 a lot of people, you know, who will say it's really only
15 what's in this that's primary -- you know, and, of course,
16 I think it's broader than that. It's going to be a
17 continuing discussion.

18 But what I want to point out, I thought, you know
19 Heather's presentation was just excellent in showing that
20 you can understand -- if you really want to understand our
21 exposures, you can't just go to the, you know, person or
22 even to a handwipe, you have to actually look at how we
23 make things and what we put in products. And it's bit sad
24 that we actually have -- that she has to do inverse
25 assessment, that you can't just go out and find out what's

1 in our food, what's in our toys and our products.

2 But, you know, I guess the question is more
3 broadly how do we move this forward, how do we start the
4 dialogue? Because that report just suggested where to go,
5 but didn't give a lot of the details. And I think what I
6 heard here today is that, you know, doing an exposome is
7 going to be a very broad activity involving integration,
8 even like Heather's diagram, and really have to understand
9 men -- not even what's in our homes, but all the way
10 upstream to what goes into making products and what are
11 the chemicals in commerce, because those are going to be
12 in our bodies, right? Anything in commerce, you're going
13 to eventually find in our bodies at some level.

14 DR. BIRNBAUM: So that is part of the questions
15 that need to be asked now, following the exposure in the
16 21st century report, following our interest. So NIEHS has
17 formed eight cross-institute efforts on kind of some of
18 the high priority topics that bubble to the top that are
19 interested -- that NTP is interested in, that the
20 intramural research program and that the extramural
21 program, and the exposome is one of those eight.

22 And the first efforts are really going to be to
23 define, not only what we mean, but what is doable, for
24 example, in a five-year time frame. And while I -- some
25 people want to come up with all kinds of different names,

1 I mean, some of us hate the name exposome to begin with.
2 We've got too many omes as it is.

3 (Laughter.)

4 DR. BIRNBAUM: But I think the issue is, in my
5 mind, we kind of have the enviro and then you might have
6 the exposome, where one is outside the body and one is
7 inside the body. And we're going to have to see what can
8 we really approach doing. I am totally supportive of the
9 fact that we have to understand the pathway of exposure,
10 because that's the only way eventually you can intervene.

11 Whether that is going to be something that NIEHS
12 is going to take a main focus on or whether that is really
13 something more, for example, for EPA to focus on, through
14 partnerships we're going to have to look at some of
15 those issues.

16 So I think we're not ready yet to fully engage in
17 how we're going to do that. But stay tuned. We're
18 probably going to be having a series of workshops to help
19 define some of those issues.

20 CHAIRPERSON LUDERER: Dr. Quint.

21 PANEL MEMBER QUINT: Julia Quint. I wanted to
22 ask and maybe this came up when I was out of the room, but
23 what is happening on the safer alternatives side of this?
24 I mean, you're a great detective, by the way, as well as a
25 great scientist, but that's happening more and more. Here

1 we're testing cosmetics, because, you know, the
2 labeling -- the labels don't, you know, really -- aren't
3 accurate in terms of what's in them. And MSDSs have
4 always been a problem with the -- for the reasons you
5 mentioned.

6 So I'm just wondering if there's coordination
7 with the -- on the side of promoting development of safer
8 alternatives and using some of these -- the whack-a-mole
9 in the opposite direction, to maybe say that this
10 structure should not -- this chemical should not be used
11 period, because, you know, you'll manipulate it and we'll
12 always be chasing after the next new chemical.

13 DR. STAPLETON: Well, I know that's the goal.
14 That's what everyone wants is some recommendations on what
15 are the safer alternatives. And I think a lot of people
16 have the assumption that the DFE program was doing that.
17 But actually, they're just -- you know, say what's
18 available and this is how they range and you make the
19 decisions.

20 I know Arlene is trying to do this with the Green
21 Science Policy Institute for a lot of flame retardants. I
22 think the problem being is we just don't have enough
23 people testing the toxicity of these compounds. And,
24 first, you have to figure out what they are to test. And
25 that's the problem and that's actually the reason we

1 started doing what we're doing right now.

2 I mean, I still wonder whether there's ways --
3 and I'm not a legislative person at all, but why does
4 TSCA -- or why do these chemicals have to be proprietary
5 for the lifetime and where pharmaceuticals or other
6 chemicals there's a certain window which you can keep them
7 proprietary, but then you to make them publicly available.

8 I mean, I'm a good chemist, but I mean I'm not
9 that great. It doesn't take a rocket scientist to do what
10 I do. So I guarantee the competitors for these other
11 companies could do the same thing that I'm doing to figure
12 out who's using what.

13 So, in my mind, there's really not an incentive
14 to keep these proprietary, at least for long periods of
15 time. And I wish there could be some pushback to change
16 the proprietary nature of all these chemicals in the first
17 place in these products, and also push for risk
18 assessments on these juvenile products that, as I said.

19 I don't know if you have anything to add to that.

20 DR. BIRNBAUM: Only that, you know, when you talk
21 about like alternatives for materials in cosmetics, you
22 start returning into, instead of EPA, you're now talking
23 about FDA. And the different federal agencies have very
24 different standards and very different requirements for
25 their legislation, which is part of the problem, that

1 there isn't harmonization even across the federal
2 government.

3 And, I mean, if you go to different parts of FDA,
4 depending -- those different centers are very different.
5 And we all know that the way that Office of Water acts
6 is -- treats chemicals very differently than, for example,
7 the Office of Toxics and Pesticides acts, and totally
8 different than Super Fund. So lots of issues.

9 PANEL MEMBER QUINT: Lots of work to do.

10 CHAIRPERSON LUDERER: Dr. Wilson.

11 PANEL MEMBER WILSON: Yeah. Thank you. My
12 question I guess is to Dr. Birnbaum, and that is, you
13 know, if you've had conversations with your counterparts
14 in the European Union in -- you know, with regard to the
15 effect -- the extent to which the REACH regulation, you
16 know, in that it was designed, you know, really ten years
17 ago and is now in its -- you know, the first several years
18 of implementation, but that it was -- you know, has been
19 intended to -- or to ameliorate the problems -- the same
20 problems that we have here with TSCA on the identity of
21 substances and confidential business information, and sort
22 of the -- you know, if has -- you know, in your -- I don't
23 know if you've had conversations if this has started to
24 deal with this pre-market problem and driving safer
25 alternatives?

1 DR. BIRNBAUM: I don't have a really positive
2 report to say on that. What I'm hearing from some of my
3 European colleagues is that, you know, industry does the
4 tests, so the tests don't necessarily ask the right
5 questions. They're not getting, I think, as robust
6 information as that they had hoped.

7 I know I'm understanding that EPA is extremely
8 frustrated here, because what infor -- you know, the
9 proprietary kinds of stuff in Europe that the -- I think,
10 it's ECH or whatever the name of the organization is --

11 PANEL MEMBER WILSON: ECHA.

12 DR. BIRNBAUM: ECHA, or something -- can't share
13 that with EPA. So all that exists are robust summaries.
14 So EPA has to require -- you know, if they want to require
15 some testing, they have to have it done all over again,
16 because -- unless the industry that did the tests is
17 willing to release it, you know, to EPA.

18 So it's not, I think, working quite as well. In
19 theory, it's a vast improvement over TSCA, because it does
20 require testing before things go on the market. But in
21 reality, the testing may not be as robust as you would
22 really like it to be, and the results are not readily
23 shared.

24 PANEL MEMBER WILSON: Uh-huh. Thank you.

25 CHAIRPERSON LUDERER: Any other questions from

1 Panel members?

2 Dr. Stapleton, I actually did have a question for
3 you as well, which relates to your comparison in the
4 couches where you were looking pre-2005 and after 2005.
5 And I noticed that pre-2005, it was interesting, there
6 were about a quarter of them that had no flame retardants
7 detectable. And I was wondering if you could comment on
8 that group? Does that mean there really were no flame
9 retardants used? Is it just that there were flame
10 retardants that were used that couldn't be identified,
11 have they off-gassed over time? You know, what do you
12 think is driving that?

13 DR. STAPLETON: I really think that they likely
14 had no flame retardants to begin with, because, to my
15 knowledge, all flame retardants that are applicable for
16 foam have to be additive, which means they should come out
17 in our method. Although, we use primarily GC/MS to detect
18 things that can be volatilized. We would usually have
19 some evidence if there was something else in there, and we
20 didn't see anything in those samples.

21 So I just think there were some items not meeting
22 TB 117 before 2005, and most of those were outside
23 California, so -- but now everyone is meeting TB 117
24 currently. But I'm pretty sure there were no flame
25 retardant applications in most of those, yes.

1 CHAIRPERSON LUDERER: Thank you.

2 We need to take some public comments, so I think
3 this is a good time for that. So do we have some?

4 Great.

5 We have two comments from people who are here in
6 the room, and none from on-line. So the first comment is
7 from Davis Baltz of Commonweal.

8 MR. BALTZ: Good morning. Are we on here?

9 Are we on? Can you hear?

10 No. I better use that one then.

11 Testing. Testing.

12 MS. HOOVER: You just have to talk right up --

13 DR. McNEEL: Just swallow it

14 MR. BALTZ How about this?

15 If you would reset the clock, it might do.

16 Okay.

17 DR. ALEXEEFF: This one works really well. You
18 can always borrow mine.

19 (Laughter.)

20 PANEL MEMBER WILSON: This one works, Davis.

21 MS. HOOVER: You've got to talk really loud or
22 use that mic.

23 MR. BALTZ: All right. Well, my apologies for --

24 (Applause.)

25 MR. BALTZ: -- turning my back on some of you.

1 But I'm Davis Baltz and work at Commonweal. And we were
2 the co-sponsors with our friends at the Breast Cancer
3 Fund, the legislation that created this Program, and we
4 have followed its progress ever since its creation.

5 So I want to thank Dr. Birnbaum and Dr. Stapleton
6 for gracing us with your presence here today, and also to
7 the staff for arranging this meeting to coincide with the
8 flame retardant meeting.

9 So I just want to thank you for your work Dr.
10 Birnbaum and Dr. Stapleton. I mean, your leadership at
11 NIEHS and NTP has been influential, as we all know, of
12 really stimulating research in some important new areas.
13 And bisphenol A, as you pointed out, is one where it's
14 really kind of come out of the shadows and is attracting
15 the kind of attention that it really needs. So thank you
16 for that.

17 And I'm going to be in and out a little bit this
18 afternoon, so let me just get on the record right now,
19 when the agenda items come up, about sort of promoting
20 some of the chemicals to the priority list, certainly for
21 the p,p'-bisphenols, as a public interest voice of support
22 those becoming priority chemicals.

23 And for Dr. Stapleton, you know, we've followed
24 your work often with Arlene Blum's assistance for a long
25 time. And I just want to say that your couch study that

1 came out recently has really generated a lot of interest
2 and momentum here in the move to revise TB 117. And we
3 hope that, based in part on your work, that we're going to
4 finally get a standard here that will, you know, provide
5 fire safety without the use of toxic chemicals, and
6 provide an upstream solution to start ridding the world of
7 these toxic chemicals, which, in many ways, have
8 originated from this misguided standard.

9 So that's really all I have to say right now.
10 I'll hope to make a comment off and on throughout the day.

11 Thanks.

12 CHAIRPERSON LUDERER: Thank you very much.

13 Our next public comment is from Nancy Buermeyer
14 of the Breast Cancer Fund.

15 MS. BUERMEYER: Can people hear me?

16 CHAIRPERSON LUDERER: Yes.

17 MS. BUERMEYER: Okay. I just want to see if
18 you've tested these for flame retardants.

19 (Laughter.)

20 MS. BUERMEYER: Excellent. Okay. I also want to
21 thank the Panel and the staff for inviting these two
22 amazing scientists to be here today, so that those of us
23 from the area can get a chance to hang out with our North
24 Carolina counterparts.

25 And we would be -- I would be remiss if we didn't

1 take a minute to thank Dr. Birnbaum for her role in a
2 recent report that was put out by a panel called the
3 Interagency Breast Cancer and Environmental Research
4 Coordinating Committee. Did I do good?

5 DR. BIRNBAUM: Yes.

6 (Laughter.)

7 MS. BUERMEYER: And they, just in February,
8 released a report called Breast Cancer and the Environment
9 Prioritizing Prevention.

10 And one of those -- one of the key
11 recommendations in there does have to do with
12 biomonitoring, and the need for biomonitoring to find out
13 how we're being exposed and to prioritize chemicals to be
14 reviewed.

15 And we are extremely excited about the report.
16 We really appreciate all the work. The panel was made up
17 of federal agency staff, scientists, and advocates. And
18 actually our President, Jeanne Rizzo, was on that panel,
19 one of the co-chairs. And we're very excited about all
20 the information that's in there about the state of the
21 science around breast cancer and the environment, the
22 research gaps that still need to be filled, and then the
23 policy pieces, which is the piece that I work on.

24 And I just wanted to say publicly to you, Dr.
25 Birnbaum, that we really appreciate what you've done, and

1 we are committed to making sure this report doesn't just
2 sit on a shelf. We really want to work with you and with
3 Secretary Sebelius to make sure that the federal agencies
4 do start working together. That's been a big issue for
5 us, the fact that the FDA doesn't talk to the EPA, or the
6 CPSC, or anyone of the number of alphabet soup that is in
7 our federal government.

8 And so we really want to work, not only to make
9 sure that the federal recommendations are implemented, but
10 also go to decision makers in Congress and other places to
11 make sure that some of those other policy recommendations
12 are realized, including reform of the Toxic Substances
13 Control Act, which is something that folks have mentioned
14 quite a bit here.

15 And I did want to just point out that Senator
16 Lautenberg and Senator Gillibrand did reintroduce the Safe
17 Chemicals Act to amend that -- to reform that bill
18 yesterday. So introduction is one small step in a very
19 long congressional process, of which I am way to familiar
20 with. But we've -- if we don't have a bill, we can't
21 begin the conversation.

22 And so hopefully all of the voices that are
23 calling for this kind of reform and really bringing
24 environmental health to the fore and the work that Dr.
25 Stapleton has done, and the fact that she testified last

1 year in Congress in support of the Safe Chemicals Act,
2 will start to bring these issues forward to the American
3 people and to Congress.

4 Thanks.

5 DR. BIRNBAUM: Well, thank you, Nancy. I just
6 wanted to let everyone know that if you're interested in
7 seeing prioritizing prevention, the IBCERCC Report it does
8 live on our website and you can find it.

9 CHAIRPERSON LUDERER: Thank you very much for
10 your comment.

11 Do we have any other comments, questions,
12 discussion from Panel members?

13 No. All right. Well, before we all -- yes, Dr.
14 Lipsett.

15 DR. LIPSETT: Okay. Is this okay?

16 So this one worked for me before. So this is a
17 question for Dr. Birnbaum, but with respect to Firemaster
18 550. And up until Heather's recent publication was just
19 like in the last month and a half, that she talked about
20 in her presentation, there have been no other independent
21 toxicology studies of this mixture at all. And yet,
22 people in this country are universally exposed to it.

23 And I was just wondering what impediments do you
24 have, say, for testing this kind of mixture where there
25 are proprietary ingredients? And, you know, Heather and I

1 guess it was Susan Klosterhaus who got the original sample
2 as a fluke from Chemtura --

3 DR. STAPLETON: I'm sure they regret that now.

4 (Laughter.)

5 DR. LIPSETT: Yeah. They won't sell her anymore.
6 So how can you --

7 (Laughter.)

8 DR. BIRNBAUM: I should say when it was Great
9 Lakes, Chemtura gave us BDE-47. So occasionally.

10 (Laughter.)

11 DR. LIPSETT: Well, so, if you were to conduct
12 say a test of like a -- in the NTP program for this
13 mixture of Firemaster 500, what kinds of issues would you
14 face in dealing with ones that have these kind of
15 proprietary ingredients?

16 DR. BIRNBAUM: Well, the issue is is that we
17 don't have testing of chemical mixtures. I think it could
18 be. What I would urge is that somebody nominate it to us.
19 You just go on the NTP website. There's a nomination
20 form. I think with the data that Heather Stapleton and
21 Patisaul, both of them, have come up with, it certainly
22 raises the level of concern.

23 My personal lab is actually -- we'll be looking
24 at some of the pharmacokinetic behavior of the two
25 brominated compounds, not the phosphates, which I know

1 Heather thinks may be very, very problematic. But I think
2 that this would be an interesting chemical.

3 I should tell if you go on again the NTP website,
4 and you put in flame retardants, we have a longstanding
5 program looking at flame retardants, both historically
6 where we tested the PBDEs, where the PBDE -- the deca was
7 tested and shown to be a rodent carcinogen many years ago.
8 The penta commercial mixture, those studies are in final
9 pathology review. Let's just say it's not a nice
10 chemical.

11 TBBPA, those pathology tables went on line
12 exactly a month ago. And TBBPA does cause both benign and
13 malignant tumors in rats and mice. And TBBPA has
14 completely flown under the radar, which is, I think, of
15 great concern.

16 And then we have a whole effort looking at a
17 number of the different alkyl phosphates. About 20 of
18 them or actually even more that have been going through a
19 range from the very, you know, short-term genetic type
20 tests up through some of them are going through subchronic
21 kinds of studies and eventually some will probably go
22 through full two-year studies.

23 So nominate Firemaster 550 as the mix. The issue
24 will be will Chemtura give it or allow NTP to buy it?

25 DR. LIPSETT: Well, it will be nominated by

1 somebody in this room.

2 (Laughter.)

3 DR. LIPSETT: Thank you.

4 CHAIRPERSON LUDERER: All right. Any other
5 questions, comments?

6 All right. Well, then to wrap-up this morning's
7 session, I was asked to do a short summary of the
8 presentations and the discussions.

9 So Dr. Birnbaum stressed I think several themes
10 in her presentation. She talked about the tens of
11 thousands of chemicals in our environment, and stressed
12 that these include not only the man-made chemicals, which
13 we were mostly focused on today, but natural chemicals, as
14 well as man-made chemicals that we take on purpose, such
15 as drugs.

16 She talked about the developmental basis of adult
17 disease, and the important realization that life-long
18 health effects can ensue due to prenatal or other -- or
19 early life exposures.

20 She also talked about the concept of endocrine
21 disruption and the low-dose hypothesis. The Endocrine
22 Society defines an endocrine disrupting chemical as a
23 chemical mixture that interferes with any aspect of
24 hormone actions. So we have come to appreciate that
25 endocrine disruptors are broader than just acting via

1 hormone receptor binding.

2 And she stressed the important -- the
3 understanding that endogenous concentrations of free
4 hormones are actually in the picogram to nanogram per
5 milliliter range and that this is very similar to
6 concentrations of EDCs to which humans are exposed.

7 She also highlighted -- she talked about the many
8 animal studies that have shown exposures of various EDCs
9 in these low concentration ranges, and that recent human
10 studies really have started to find effects of the same
11 chemicals on many of the same endpoints in human
12 populations. And she highlighted some studies
13 associating, for example, persistent organic pollutant
14 exposure with diabetes risk, polybrominated diphenyl
15 ethers exposure with neurodevelopmental effects,
16 alteration of thyroid homeostasis reproductive, effects
17 and bisphenol A exposure in studies of assisted
18 reproductive technologies showing associations with the
19 decreased numbers of eggs retrieved and eggs fertilized.

20 She also mentioned that there's an April 23rd
21 webinar on a new OHAT methodology that we might be
22 interested in for analyzing dose responses, particularly
23 focusing on low-dose response.

24 And she talked about new directions at NIEHS,
25 such as looking at the exposome or the totality of

1 environmental exposures for an individual, studies of
2 mixtures. And Dr. Birnbaum highlighted PAHs as an example
3 of some mixture studies that are going on at NIEHS and its
4 grantees, and talked about high-throughput toxicity
5 testing, which also came up in the discussion on the
6 Tox21, the thousand genomes project, which is in vitro,
7 using cells lines predominantly, and the diversity
8 outbred, which is a population based mouse model.

9 During the discussion, Dr. Quint and Dr. Wilson
10 both raised concerns that in occupational health we kind
11 of, in some ways, seem to have fallen behind, in terms
12 of -- in particular thinking about lower exposures, and
13 asked about whether there is -- whether NIEHS is working
14 with NIOSH. And Dr. Birnbaum talked about some
15 initiatives, including looking at nanoparticles and their
16 toxicity.

17 Dr. Cranor asked about high-throughput assays and
18 whether they're ready for prime time. And Dr. Birnbaum
19 had some, I think, very encouraging comments about that,
20 and also mentioned some interesting mid-throughput assays,
21 such as in model organisms that are non-mammalian, C.
22 Elegans, and zebrafish as examples.

23 Dr. Stapleton discussed new research on exposures
24 to and toxicity of the non-pentaBDE flame retardants. And
25 she talked about much -- a variety of different research,

1 but a lot of it really, I think, as she said, showed that
2 the California flame retardant standard, the California TB
3 117 really seems to be driving flame retardant use
4 nationwide, not just in California, which is important,
5 and something that this Panel has, I think, talked about.

6 And she described her recent work screening
7 couches and baby products for flame retardant. Some of
8 the most commonly found flame retardants in baby products
9 included TDCPP, Firemaster 550, and V6. And couches
10 similarly also contained TDCPP, very commonly in
11 Firemaster 550, but also pentaBDE. Although that was
12 primarily in the older couches.

13 And she also identified two new organophosphate
14 mixes in both types of products. And she also talked
15 about TCEP being -- making up 14 percent by weight of V6,
16 which is a known carcinogen. And that is obviously of
17 concern.

18 And she also described a recent study she had
19 done in collaboration Dr. Patisaul, an in vivo study of
20 gestational and lactational exposure of rats to Firemaster
21 550 at levels more than ten-fold lower than the current
22 NOAEL.

23 And this study showed effects on dam thyroid
24 hormone, thyroxine, increased pup weights and obesity,
25 increased ventricular wall thickness in the males, early

1 puberty, constant estrous in the female offspring.

2 And she stressed the importance of method
3 development for urinary metabolites of Firemaster 550 and
4 other new flame retardants, and also talked about an
5 exciting new methodology she's developed to study
6 environmental exposures in children using handwipes.

7 And talked about a study looking at serum PBDE
8 concentrations, and how that they are very highly
9 correlated with the handwipe PBDE concentrations, and, in
10 fact, accounted for 30 percent of the variability.

11 And with that, I would again like to thank our
12 speakers very much for their excellent presentations, and
13 Panel and the audience for discussions.

14 And we'll now be breaking for lunch and we have
15 an hour for lunch. So it is just about noon, so we'll
16 reconvene at is 1:00 p.m. promptly.

17 (Laughter.)

18 (Off record: 11:59 AM)

19 (Thereupon a lunch break was taken.)

20

21

22

23

24

25

1 A F T E R N O O N S E S S I O N

2 (On record: 1:13 PM)

3 CHAIRPERSON LUDERER: All right. I think we need
4 to get started. We're running behind. Apologies for
5 starting late.

6 (Thereupon an overhead presentation was
7 presented as follows.)

8 CHAIRPERSON LUDERER: All right. I'd like to
9 welcome everyone back from lunch. And the next item is
10 going to be an update on Biomonitoring California
11 activities presented by Dr. Michael DiBartolomeis, Chief
12 of the Exposure Assessment Section, California Department
13 of Public Health and lead of Biomonitoring California.

14 Dr. DiBartolomeis.

15 DR. DiBARTOLOMEIS: Well, thank you, and welcome
16 back from lunch. I hope everybody had some nice something
17 to eat.

18 My job in the next 15 or so minutes is to make
19 sure you're still awake for the important stuff that's
20 going to come later in the day. So we're now -- we're now
21 dovetailing. We're going into that part where the Program
22 can go through its progress, accomplishments, and get into
23 some sort of technical results, et cetera.

24 So I'm going to just start out superficially.
25 And then a lot of the things I'm going to touch on are

1 going to be delved into in more detail by Dr. Petreas,
2 She, and Ms. Dunn.

3 But before I do that, I just want to -- it's
4 really nice -- and I know a lot of the OEHHA people have
5 probably gone back upstairs, but to have the opportunity
6 to now work closely again with my colleagues and friends
7 over at OEHHA. And some of the people -- we were talking
8 about who hired whom. Some of the people in the audience
9 this morning I hired, some it's kind of made me think, A,
10 I'm getting older --

11 (Laughter.)

12 DR. DiBARTOLOMEIS: -- and, B we've done well.
13 We got some really great people in here. So I'm going to
14 go ahead and try to get through these in a fairly quick
15 manner.

16 Basically, this is following the same kind of
17 format you've seen before. There's nothing really
18 different about what I'm going to be doing here. I'm
19 going to concentrate a little bit on the progress -- am I
20 too loud?

21 I'm the opposite of the other problem.

22 I probably don't even need this. Most people --
23 yeah, like Sara and I don't actually need microphones.

24 (Laughter.)

25 MS. HOOVER: It's true.

1 (Laughter.)

2 DR. DiBARTOLOMEIS: So I'm going to cover just
3 quickly the accomplishments and the progress made to date
4 on the three complete -- the projects that we do and
5 completeness with biomonitoring, and then cover a couple
6 of things that have -- reportwise that we have gotten out.
7 Then I want to get into a little bit about some public --
8 how we're going to get some results out to the public.
9 And then just really quickly touch on some future -- some
10 ideas for future directions.

11 As usual, we say any staffing changes, and Amiko
12 Mayeno has left the Program, and we wish her well. And we
13 thank her for her service.

14 And, of course, I'm here now, but that's -- we
15 already know that.

16 (Applause.)

17 DR. DiBARTOLOMEIS: You know, I'm just going to
18 keep saying that so I get applause.

19 (Laughter.)

20 DR. DiBARTOLOMEIS: So let me remind you, because
21 I know most people probably have this memorized, but in
22 case you don't, back in November -- and this is the
23 Mothers and Infants Exposure -- Environmental Exposure --
24 something -- Project. Thank you.

25 You can tell these things happened before I --

1 this is lot of stuff that's happened that I'm catching up
2 on. But in November, we had just returned the first set
3 of results to the participants. And I think we had just
4 been starting analyzing the second set, and then, of
5 course, the returning of the second set comes later.

6 Now, today, we have completely analyzed the
7 second set of chemicals and we're very close to having the
8 results returned to the participants. We're thinking May.
9 It could be, you know, somewhere in that time frame.

10 Also, there's a new box. I wish I had a pointer,
11 but hydroxy BDEs. That's another set of chemicals that
12 initially the principal investigators wanted us to
13 analyze, but there was some methodological problems -- oh,
14 wow. I ask and it shall happen.

15 I might not need it anymore, but I'll -- and so
16 the technical difficulties have been worked out, and so
17 they're going to go forward, the labs are going to go
18 forward to analyze those in these samples. And so there
19 will be a third results returned at some point, but we
20 don't have that up there yet, because it's kind of too far
21 into the future. Maybe Myrto is -- yeah, she'll touch on.

22 So in terms of the panels and the chemicals that
23 were able to analyze, you know, in November we were
24 complete all the way up till we get down to the dialkyl
25 phosphate metabolites and metals. If you look over on the

1 right-hand side that's where we are. Now, those are now
2 complete. In fact, the only thing we have now pending are
3 the hydroxy BDEs, which is really great. I mean a lot of
4 progress made.

5 The FOX Firefighters study, which is the
6 occupational exposures to whatever firefighters are
7 exposed to. Back in November, we actually were working on
8 the second set of results going back and analyzing -- I'm
9 sorry we were analyzing the second set of chemicals. And,
10 let's see, we're still doing that. It hasn't been
11 completed yet, but we did start the -- no, sorry. Let me
12 go back to that. Oh, not yet started.

13 So last -- so that's interesting the colors.
14 Okay. So the second set of results returns has started,
15 but we haven't gotten all the results yet from the second
16 analysis. So definitely by the next meeting we'll
17 probably be having those boxes completed. And in terms of
18 analyses, you'll see that the one change that's crossed
19 out is that after some discussion with the principal
20 investigators, and with the labs, we decided that the --
21 not to concentrate on those particular phosphate --
22 pesticide metabolites for this particular group. So we
23 dropped that. We did complete the metals in urine. And
24 arsenic speciation has made progress, but it's in the
25 review stage.

1 And for the pilot BEST project, and pilot BEST is
2 pilot and then there's going to be the second part of
3 BEST. So this is just the pilot part. Back in November,
4 we had just completed the first set of analytes with a
5 bunch of metals. And I should probably mention that the
6 metals that we reported back in November we had the wrong
7 metals up on the -- so if you go back to your old notes,
8 you might notice that the metals are different. We
9 corrected that on this version.

10 Disclosure. Transparency.

11 And so where are we now?

12 The first set of chemicals has been returned. It
13 was returned actually awhile ago in December, and let me
14 see. And we have also abstracted the information from the
15 medical records. That is complete. And we are now into
16 the -- analyzing the second set of analytes.

17 And then in terms of chemicals, you'll see that
18 the PBDEs have been completed. They were in progress in
19 November. They're now done. And we actually have
20 perchlorate in progress. I thought it was close to being
21 complete, but I guess we're still working on that one, but
22 it's made progress.

23 So okay. That gets us through those three
24 projects.

25 What else happened?

1 Well, we have a mandate to provide a legislative
2 report to the legislature. And I believe we have three
3 that we have to provide, and we provided one -- I don't
4 know when. I haven't actually looked at -- every two
5 years. Okay.

6 So this is the second report. And that's what it
7 looks like. That's the cover. And it was disseminated to
8 the legislature in early February 2013. And I don't
9 expect you're going to be copying down that website, but
10 you can probably click on it if you have the electronic
11 version. And that's where you can find it, and I'm going
12 to go -- just the next slide is a little bit on the table
13 of contents and what you would find inside of there.

14 But I just wanted to mention that we've already
15 started the third report, which is due at the beginning of
16 next year. So there's never any kind of end to these
17 things.

18 And so what do you see in the legislative report
19 is probably fairly structured and consistent and more than
20 likely we'll be following the same table of contents for
21 the third report. You'll see introduction and background,
22 of course. And then there's program structure and
23 resources, where information is provided about the sort of
24 current state of the sustainability of the Program and the
25 staffing and that sort of thing.

1 Then there's a whole chapter on the Panel, the
2 Science Guidance Panel. And there are a lot of little
3 subchapters and stuff I didn't bother -- it wouldn't have
4 fit on here. Then we go through various -- the projects
5 and the study design and some results are probably
6 provided in the appendices. Then there is status updates
7 on the laboratory.

8 How we're involving the public is another
9 chapter. And then there are some conclusions and
10 recommendations. And I can just sort of quickly -- excuse
11 me. I have to catch up with my notes.

12 The other thing about coming back here is that I
13 realize I now have to wear glasses to read.

14 (Laughter.)

15 DR. DiBARTOLOMEIS: And when I first came to the
16 State, I didn't have to. I had perfect eyesight. I'm not
17 saying that there's a correlation between working for
18 State government and losing your sight.

19 (Laughter.)

20 DR. DiBARTOLOMEIS: I can say there is probably
21 about losing your brain.

22 (Laughter.)

23 DR. DiBARTOLOMEIS: So in terms of some
24 conclusions, in this legislative report, the second one,
25 we definitely have made significant progress in increasing

1 laboratory capability and capacity to analyze
2 environmental chemicals in human tissue.

3 There have been several collaborative efforts.
4 Those are spelled out in here. And these are still
5 ongoing, of course. There was significant progress made
6 in targeting biomonitoring studies and surveys
7 representing populations at large. And there has been a
8 specific instance where biomonitoring has led to more than
9 just detecting chemicals. It's actually outreach and
10 education and potential policy changes when mercury was
11 discovered and people who were using skin lightening
12 creams.

13 And there's -- we're going to talk a little bit
14 more about cosmetics at some point, so -- but that -- you
15 know, that was an actual concrete application. We were
16 talking a little bit this morning about when does
17 biomonitoring kind of go into public health policy and
18 public health application.

19 And then ultimately there's been an expanded
20 outreach and materials for communication biomonitoring
21 results, both to individual participants, as well as to
22 general public.

23 So another report that was issued was our
24 progress report to the Centers for Disease Control. And
25 we highlighted -- this is really a major accomplishment.

1 We now have, of the original proposed methodologies, a
2 hundred percent have been completed. So that's a really
3 major accomplishment. So my kudos to the labs. That's
4 really great.

5 And also, what we just talked about, the three
6 main projects. So obviously a lot of progress has been
7 made there. In terms of proposed activities that are that
8 report, just to kind of go through these really quickly.
9 You probably have seen these before.

10 And the Program evaluation has actually started.
11 And I don't know if I want to go into a whole lot, because
12 I don't have a lot of time, but, you know, if you wanted
13 to ask me, I can answer some questions. But that Program
14 evaluation is part of what we proposed and agreed to do
15 with CDC.

16 We have finalized and disseminated the report of
17 the county health officers and environmental health
18 officials. And we think it's going to be ready to be
19 posted and disseminated and made public in August. We're
20 continuing to explore the feasibility of using those
21 genetic disease screening program samples, and we're going
22 to hear a little bit more about that later.

23 And we're continuing to explore methods
24 development for other chemicals. And specifically I know
25 this Panel had discussed synthetic musks and personal care

1 products. And I believe that we'll hear a little bit more
2 about that as well, but that's something that we are
3 pursuing.

4 We're continuing to work toward a capability for
5 non-targeted laboratory analyses. That's another way of
6 just saying we are going to be looking at unknowns, and
7 starting to develop methodology to do that, rather than
8 just going in and going -- going to where the spotlight
9 is, starting to look away from where the lights are.

10 And specifically for chemicals, and I think this
11 is going to be mentioned as well later, we're exploring
12 and developing methods for BPA, analogues and derivatives,
13 and non-PBDE flame retardants. And we heard quite a bit
14 about that this morning from our two guests. And we're
15 continuing to participate in proficiency testing programs,
16 both with CDC and other programs.

17 In terms of public availability of results, this
18 is a huge emphasis for this program. We think, at this
19 stage, it is a very high priority for this program to get
20 results out to the public. And we're going to see some
21 results a little bit later from the teacher's study --
22 California Teachers Study.

23 But, you know, I can't emphasize this enough, so
24 we do get the results back to the participants, but in --
25 you know, we need to start getting results to the public,

1 and making this Program visible, and getting these results
2 out scientifically, as well as to the general public for
3 other means.

4 So there are ways to do that. We're going to see
5 a presentation about website design -- about the revised
6 website from Amy, a little bit later. Publication is
7 always a way to go. And you can publish, not only in
8 scientific journals, but in lay journals. So we have to
9 be thinking through that. But we also are going to be
10 posting the results on the website.

11 So one of the things that the Panel wanted
12 apparently was, well -- how would you actually -- what
13 would you present?

14 And so we put together, and this is Lauren and
15 others -- and Laura and others put together a template,
16 which would basically give you an idea of the kinds of
17 things that we would post, not necessarily all of them at
18 once, or not necessarily in this order, per se. We might
19 expand or take away. But essentially we're talking about
20 some kind of central tendency measurement, geometric mean
21 with confidence intervals.

22 Then you could provide a range of results in
23 terms of percent -- of selected percentiles. We would
24 have some kind of statistics on detection frequency, you
25 know, a hundred percent, probably greater than 50, you

1 know, something along those lines. And then something
2 very important, what is the limit of detection, so we have
3 an idea of what -- you know, what these levels that we're
4 reporting are in terms of how low can we ratchet it down.

5 I'll let you -- I know that's in your notes. I'm
6 sure there's going to probably be a little bit of
7 discussion about that, but I do need to move on otherwise
8 I'm going to get the hook.

9 But that's sort of -- and the kind of template
10 itself can change a little bit. And, at some point, we
11 can also have comparisons to other data like NHANES, and
12 that we have, you know, structure for that, too.

13 So that concludes the part of the session that I
14 feel like everything happened that I'm just reporting what
15 other people did. So in the next two minutes, if you can
16 just indulge, when I came here and actually during my
17 interview process, I thought one of the things that I
18 should be doing is bringing my own personality to this
19 program. Sorry, for those who don't want that.

20 (Laughter.)

21 DR. DiBARTOLOMEIS: But for those who think
22 that's a good thing, I had to start somewhere. And, of
23 course, I do have visionary thoughts about what -- where I
24 think this program can go, but I wanted to go back and see
25 what had been discussed already.

1 So I went to the two sources, the SGP meetings in
2 the past, and I went to some internal meeting minutes that
3 I found from probably stuff that's buried and nobody knows
4 much about, but some retreat off-site sort of things from
5 the Program planning people.

6 And I pulled out things that I thought really had
7 a lot of merit, and had been -- seemed to have generated a
8 lot of interest, and I have interest in, which is
9 important for me. And I listed them up there in four
10 bullets as things that we want to go forward to discuss
11 internally and then eventually with the SGP about ways
12 where this Program can grow, where it's going to be in the
13 future. When you get to our age, my age, you start
14 thinking about future and what you're going to leave
15 behind.

16 And so these are the sort of areas that I
17 thought -- and, you know, I can just quickly read them.
18 Obviously, sustaining the Program. And almost everything
19 that we do feeds into that, so we just have to keep that
20 in mind.

21 There is -- we talked a little bit about risk
22 assessment this morning, and about how biomonitoring can
23 fit into the context of quantifying public health impact
24 and those sort of things. I broadened it a little bit. I
25 don't want to say risk assessment. I just want to say

1 biomonitoring does have a role in evaluating impacts of
2 chemicals on human health and probably the environment as
3 well, if we wanted to expand biomonitoring to be what's in
4 animals and, you know, et cetera.

5 Plus, there's also the upstream versus downstream
6 kind of events. So biomonitoring might help you get at
7 something that might be happening sooner versus waiting
8 until we see some impact down the line. That gets a
9 little bit toward the prevention side that you were
10 talking about, Carl.

11 And then it would be -- not make any sense that
12 we wouldn't and -- one minute? I will definitely be done
13 in one minute. That we would definitely want to continue
14 to link biomonitoring and our results with what we're
15 finding in the environment and the workplace. And for my
16 particular interest now in consumer products. So I think
17 that, you know, there would be no reason to do -- go away
18 from that, but we have to actually, I think, have a plan
19 of attack, because you can actually get scattered and
20 really watered down, if you don't -- if you start jumping
21 at every fire.

22 So you really want to have a plan. And then
23 finally, George mentioned this this morning. I have had a
24 longstanding interest in Environmental Justice. It
25 started when I ran something called the comparative risk

1 project. 21st century plan for California's environment.
2 And I formed a committee on Environmental Justice. It
3 hadn't been done before, and I took a lot of flack for it.

4 So because I took enough flack for it, I have
5 just -- it has become something that I have really been
6 bonded to, and I take it really seriously. And I think
7 there is a definite role for biomonitoring in
8 Environmental Justice considering the work that we can
9 do to inform the movement and to actually use
10 biomonitoring to lessen the impact on overly burdened --
11 disproportionately burdened populations.

12 So with that, I'm going to stop. I do have some
13 more specific ideas here, but I'm going to let the
14 discussion take wherever it's going to go.

15 Thank you.

16 (Applause.)

17 CHAIRPERSON LUDERER: Thank you very much for
18 that update and for your thoughts on future directions.

19 Do we have any clarifying questions from the
20 Panel before we go to public comments? And then we'll
21 have more discussion from the Panel afterwards?

22 Dr. Cranor.

23 PANEL MEMBER CRANOR: Michael, you mentioned the
24 second bullet on the future directions. Do you have
25 anything more specific there?

1 DR. DiBARTOLOMEIS: Not, at this time, in terms
2 of -- I feel like I don't want to get ahead of the
3 Program. I promise, though, that there will be a future
4 meeting where there's going to be something -- a much more
5 in-depth discussion about this. And I would like to
6 get -- there's a lot of expertise just in this room, and
7 maybe we can find some others outside too, to really delve
8 into this a little more, because it is something that
9 we're talking about a paradigm shift.

10 You know, we hate that word, but you know what
11 I'm talking about. Everything is really risk-based now,
12 and we have to start thinking about where we're
13 going -- where does biomonitoring take you that maybe
14 takes you out of that paradigm into something that is
15 quicker, more predictive, lessens the burden on
16 government. You know, all those sort of things that we
17 really have to think about as we move forward.

18 PANEL MEMBER CRANOR: Right. I might add, not
19 only are things risk-based, but they're always risk-based
20 well after the fact. And unfortunately, biomonitoring is,
21 after-the-fact, detecting things. And so it would be an
22 imaginative advance to figure out how we can do that with
23 an eye to going forward.

24 CHAIRPERSON LUDERER: Dr. Quint.

25 PANEL MEMBER QUINT: Yeah, Julia Quint. You have

1 consumer products, and I can't help but think that we have
2 this safer alternatives regulation that's hopefully moving
3 down the line. And one of the triggers for -- you know,
4 there's two things, you want to have exposure as well as
5 the health impact part of it driving the choices of what
6 priority products are out there. And biomonitoring is one
7 of the exposure triggers for the safer alternatives
8 project.

9 So my question is, are there any -- I'm sure
10 you're talking to DTSC about this, but is there anything
11 more formal in terms of how they may -- you know,
12 interactions between your two programs? This may be
13 too -- you just got on board, so you may not know this.

14 DR. DiBARTOLOMEIS: Well, first of all, I have
15 been serving as a consultant to DTSC on promulgating the
16 regulations. And that's official with my CDPH hat on, so
17 I can actually say that.

18 So I do know kind of what is the thinking about
19 how they're going to identify priority chemical product
20 combinations. And biomonitoring is definitely on the
21 table. And I think there is going to be a link between,
22 you know, the two programs. We are trying to meet with
23 Debbie. Unfortunately, we've kind of had to push --
24 things have happened in the past couple of months at DTSC
25 that have kind of pushed our meeting, but we do want to

1 have a strategy meeting about how we can work -- and we're
2 going to do the same thing with OEHHA.

3 There's three departments involved here. And
4 these three departments we work really well at the
5 technical level. We also have a level where we're
6 thinking internally about things, but we haven't really
7 had the high level discussions yet, and I think we need to
8 do that.

9 PANEL MEMBER QUINT: Can I just -- yeah, because
10 I think one of the things being retired, I get to dabble
11 in a lot of different programs. And I think, to me,
12 sometimes it's disconcerting how still siloed everything
13 is in terms of policies and not integrating those things.
14 So I think we have environmental health tracking, which
15 has been linked to biomonitoring in a way.

16 And so it would be really nice to have all of
17 these programs at least communicate, you know, and set --
18 try to set priorities somewhat -- to the extent that their
19 mandates for their programs allow, but to try to integrate
20 more, because I think it's much more effective.

21 DR. DiBARTOLOMEIS: Well, I'll just say thank you
22 for that comment, and definitely something that we'll
23 bring forward and discussing it in length.

24 CHAIRPERSON LUDERER: Dr. Wilson.

25 PANEL MEMBER WILSON: Thank you, Michael. And I

1 guess I'd be interested in hearing your perspectives on,
2 you know, how biomonitoring does sort of intersect with
3 your experience with Environmental Justice and all of that
4 work.

5 DR. DiBARTOLOMEIS: Probably the best way I can
6 respond to this is to just think about the groups that
7 I've been working with -- or I had been working with when
8 I was head of the cosmetics program, like the Healthy Nail
9 Salon Collaborative. And really the basis for their
10 concern is that they're using chemicals in the workplace
11 that either are not well-regulated in a workplace or they
12 are not on labels. And so they don't know they're being
13 exposed to them. And these are people whose livelihood is
14 to work in these non-vented, not very well regulated work
15 places. They're usually people of color with, you know,
16 low income.

17 And so I think it's sort of a natural red flag
18 for me that you have, you know, a group that really needs
19 something besides whatever they can raise their hand in a
20 public forum and say, you know, help us. So biomonitoring
21 and other environmental monitoring kinds of work is one
22 way to really hone in and define an exposure for a defined
23 population that clearly has all the other things that you
24 would want to look for in an Environmental Justice
25 community that is definitely the impact -- you know, a

1 higher impact.

2 So one of the things I'd like to -- probably will
3 put forward, quicker than something else, is to have maybe
4 a meeting -- maybe either the next meeting or the meeting
5 after, where we actually have cosmetics be the primary --
6 or the personal care products be the primary topic. We
7 can actually have somebody from the Healthy Nail Salon
8 come here. We can have somebody from the cosmetics
9 program come. And we can talk about it in an
10 Environmental Justice context as well.

11 So I think that some of this might move forward
12 in that regard. It just makes a lot of sense to maybe
13 pursue that population because we have so much information
14 that we can -- a lot of work has already been done, but
15 yet we have the information coming from the cosmetics
16 program, the Cosmetics Act. We'll have -- by then, we'll
17 the consumer product regs out, and then we have the
18 biomonitoring.

19 We're going to hear a little bit more maybe about
20 some other analytes. So I think that's sort of primed for
21 a future direction.

22 PANEL MEMBER WILSON: Great.

23 DR. DiBARTOLOMEIS: And the other thing that's
24 going on with the Environmental Justice. Of course,
25 George mentioned it this morning is the CalEnviroScreen.

1 And that's going to be coming up to speed, I think I
2 heard, in April, John Faust told me, but maybe -- some
3 time --

4 DR. ZEISE: Very soon.

5 DR. DiBARTOLOMEIS: And that's going to be
6 another thing I think we need to explore. So we could
7 also probably even have a session devoted just to
8 Environmental Justice. So sorry for the long-winded
9 answer.

10 CHAIRPERSON LUDERER: Dr. Kavanaugh-Lynch has a
11 question, and then we'll take some public comments after
12 that.

13 PANEL MEMBER KAVANAUGH-LYNCH: Thanks. It's very
14 exciting to see these future directions, at least we know
15 that you're thinking about them and have taken the input
16 that we've given in the past about those.

17 Written in between the lines here -- I'm sure
18 it's here. The more you talk, the more I believe that.
19 But what's not explicit is what was written in the
20 legislation about the community involvement in this
21 program.

22 And so I can't imagine Environmental Justice work
23 without community, but it's not -- I'd like to see that a
24 little more explicit in the future directions.

25 DR. DiBARTOLOMEIS: I think you actually raised a

1 really good point. It is part of it, but I keep
2 forgetting that most -- many people who may not realize
3 it, public involvement is just a key component to
4 Environmental Justice. It's like something that connects
5 the two. You just have to have it, but it should be more
6 explicit, and it is definitely -- believe me, that is
7 something that we would have to be talking about.

8 Now, having said all of this, going back to that
9 first bullet, sustainability for the Program. Anytime
10 you're involving the community, and anytime you're doing a
11 study, whether it's small or large or whatever, resources
12 do become an issue, and we have to really consider those
13 things, too.

14 So that's why, you know, a part of -- we have a
15 lot of great ideas, but if we're going to really do this
16 and do this right, we also have to make sure that this
17 program can sustain that, and that the State of California
18 supports it.

19 CHAIRPERSON LUDERER: Dr. Bradman.

20 PANEL MEMBER BRADMAN: This also relates actually
21 just to your last comments, and the plan for
22 sustainability. Another piece that was in the legislation
23 was a representative sampling of the California
24 population. And, you know, over the years, that's been --
25 it's basically been a consensus that there's not the

1 financial resources. It's just mentioned to accomplish
2 that. I don't know if the State budget is doing a little
3 better, and I wonder if we can think about how to move
4 forward to also accomplish that and whether that should be
5 part of -- at least if it's not part of the future
6 direction, there should be kind of a self-conscious
7 admission that we don't have the resources to accomplish
8 that core, almost a mandate.

9 DR. DiBARTOLOMEIS: Well, let me respond first by
10 saying, I'm going to pass my briefcase around and you can
11 just sort of start throwing in your dimes and nickels.

12 (Laughter.)

13 DR. DiBARTOLOMEIS: In actuality, yeah, I mean
14 that's precisely what we have to be thinking about. If
15 we're going to be thinking small, big, medium or whatever,
16 we have to make sure that there is some kind of a funding
17 resource for this, whether it's some avenue that we can't
18 think of right now, because it has been created yet, some
19 mechanism, or whether we go through the same old, you
20 know, routine.

21 And we're not giving up on getting federal funds
22 and those sort of things either, but, you know, it is
23 something that really plagues, not only this Program, but
24 some of the other programs that I'm attached to, like the
25 Green Chemistry and the Cosmetics Program. These are --

1 it's really -- it has been tough times. And you are right
2 that there seems to be some improvement in the economy in
3 California, but, you know, there are other people in this
4 room who I know can speak to this a little better. It's
5 not necessarily that the legislature now is just saying --
6 and the Governor is just saying, okay, let's spend.

7 So we're kind of in that weird place, where
8 things are a little bit better, but yet we -- there's
9 still going to be a lot of competition for the same
10 dollar.

11 PANEL MEMBER BRADMAN: Yeah, and I think we
12 understand that. But I guess if we're going to -- if
13 we're not going to do that, we should not do it in a kind
14 of a transparent and self-conscious way.

15 DR. DiBARTOLOMEIS: Yeah.

16 CHAIRPERSON LUDERER: Okay. We have a couple of
17 public comments. So thank you very much, Dr.
18 DiBartolomeis.

19 We'll take some public comments and may --

20 DR. DiBARTOLOMEIS: I don't need to stand here
21 anymore.

22 (Laughter.)

23 CHAIRPERSON LUDERER: So our first public comment
24 is from Renée Sharp from the Environmental Working Group.

25 MS. SHARP: It's kind of confusing which way you

1 face.

2 (Laughter.)

3 MS. SHARP: I'll just sort of go back and forth.
4 So I'm Renée Sharp. I'm the Director of Research for the
5 Environmental Working Group. And we're a nonprofit
6 environmental research and advocacy organization based in
7 D.C., but we have an office just a few blocks that way in
8 Oakland.

9 And I just wanted to really reiterate a number of
10 the comments that have already been made about how much we
11 appreciate this whole Program so much, and also just the
12 effort that the Panel puts in to having a great discussion
13 and pushing the Program forward.

14 So, that said, I also was really particularly
15 excited to see Michael's slides on proposed activities and
16 future directions, because as much as I love the current
17 Program, I was particularly excited, and just wanted to
18 note, about the possibility of identifying and quantifying
19 unknowns.

20 It feels like, you know, this Program is already
21 pretty cutting edge, but it could really -- it could be
22 even more cutting edge, and that would be really exciting.
23 I mean, one of the things as an organization that's
24 actually done quite a bit of biomonitoring ourselves, one
25 of the things that we have really experienced is that

1 while we have something around the range of 80,000, a
2 hundred plus thousand chemicals in commerce, the number of
3 them that we can actually -- that we have methods for to
4 detect in water, much less in urine or blood, is
5 remarkably small.

6 And there's, you know, quite just --
7 statistically speaking, it's quite likely that there are a
8 number of chemicals that basically -- you know, that are
9 potentially harming our health, that we just don't even
10 know how to detect. So if this Program could help
11 support, you know, more of the method development, and
12 more of the identifying of the really cutting edge, up and
13 coming threats or threats that we just haven't identified,
14 that would be a really great outcome for this Program.

15 And then I was also very excited to see many of
16 the future directions, including -- really including
17 biomonitoring as an element of the broader context of
18 assessing chemical impacts on human health, and the source
19 exposure relationships, and also Environmental Justice.

20 I think these are all really fantastic future
21 directions, and I'm excited to see where it goes.

22 Thank you.

23 CHAIRPERSON LUDERER: Thank you very much for
24 those comments. And our next commenter is Diane Graham
25 from Keller & Heckman.

1 DR. GRAHAM: I'm actually speaking as an
2 analytical chemist and a member of the public. And I've
3 been following this Program for a number of years, and I'm
4 really excited that it's a priority to get results out to
5 the public, because I am very interested to see the
6 results of this project. And I'm really excited that
7 we're going to be able to finally get the data and
8 actually be able to see it ourselves.

9 So thank you.

10 CHAIRPERSON LUDERER: Thank you very much.

11 All right. Our next agenda item, if we don't --
12 or do we have any additional comments or discussion from
13 the Panel about --

14 MS. HOOVER: Yes. Oh, this is working better
15 now.

16 Yes. In fact, if you put up the slide on the
17 template, we have a few -- just a little bit of -- let's
18 see. This is time for Panel discussion now. Those
19 original were clarifying questions.

20 Anyway, I did want to just go back to the results
21 template and say a little bit more. And Lauren and Laura
22 are both in the audience. So we had an internal work
23 group to develop this. And I just wanted to note it,
24 because we actually went back to the previous Panel
25 discussions and paid attention to what are the key

1 elements that should be in the results template.

2 So, first of all, just Panel impressions would be
3 good to hear. You know, obviously, brief. We don't have
4 a lot of time, but your impressions. And also, another
5 note for you is that we actually have a -- we have a
6 service order that hopefully will be approved with our web
7 developer to actually do, instead of just a flat posting
8 of a PDF, to have a fancier version on the website that's
9 actually embedded as a template within the website. So I
10 just wanted to note that's some of our work. I don't
11 know, did you guys want to add anything?

12 Okay.

13 CHAIRPERSON LUDERER: Dr. Quint.

14 PANEL MEMBER QUINT: Julia Quint. Will there
15 be -- I think you -- when you said it won't be just a PDF,
16 does that mean that you will be able to click on things
17 and get explanations, or will there be --

18 MS. HOOVER: Yeah.

19 PANEL MEMBER QUINT: How will that work?

20 MS. HOOVER: Okay. These mics are confusing me.
21 Okay. Yes, we have different possible options. So where
22 it says definitions, we're probably going to do -- we were
23 originally thinking about a rollover thing, where you
24 rollover and it pops up, but now I think we're talking
25 more about linking terms to a glossary. So, you know, it

1 will be like clickable, and then it can go to a term.

2 PANEL MEMBER QUINT: Right. Outside of just
3 defining terms, I'm wondering if -- and this can get
4 tricky, because this is exposure and it's not health, but
5 for a lot of people, this is meaningless in terms of why
6 are we -- what do I care -- I mean, what does this mean
7 that this is in somebody's body?

8 So if you could -- are you linking to the CDC
9 fact sheets or anything like that?

10 MS. HOOVER: Oh, yeah. Well, you'll see this in
11 Amy's and Laurel's presentation. You'll see the context
12 for how -- all the context. Yeah, we've thought a lot
13 about that.

14 Just as one added note though to -- if I maybe
15 misunderstood your original question, yes, part of the --
16 this is like the initial push out to get it on the
17 website.

18 However, there's definitely an intention to do,
19 you know, like interpretive pieces that would be
20 understandable by the general public, when we have
21 results. So that -- you know, that's a priority as well.

22 PANEL MEMBER QUINT: Yeah, just one final thing.
23 I'm not sure how this could be done, but it would be
24 interesting to see, in some sort of evaluative process,
25 you know, the impact of this information. I mean, I know

1 you can look at how -- you know, how many clicks you got
2 on the site or something like that, but I think we're
3 probably the first Program that will be doing something
4 like this, right, publishing -- well, CDC does it all the
5 time.

6 MS. HOOVER: CDC does it. I mean, I think -- you
7 know, we're going to have -- we have certain testing, you
8 know, of the website planned and a possibility for input.
9 I'm just looking to Amy for this. She can maybe comment
10 on it, but we're going to have ways where people can give
11 input and so forth.

12 PANEL MEMBER QUINT: I guess, you know, just
13 questions. If people -- whether they understand it or
14 don't understand it, I mean, is there a possibility to do
15 some sort of minimal evaluation of, you know, how this is
16 working in terms of the communication part of it?

17 MS. HOOVER: Yeah, I mean, I think -- I don't
18 know, Amy, if you want to respond to that more than I can,
19 but I think that that is essentially planned.

20 MS. DUNN: Well, I think it's a great thing that
21 you're raising that, because we do have testing that we're
22 doing for the website, but this is, in a way, coming a
23 little behind the first part of the website development,
24 and we're just getting ready to work with our web
25 developer on this piece. And so I think, you're right,

1 that we really should build that in.

2 PANEL MEMBER QUINT: That would be great,
3 actually, I think, if you could.

4 CHAIRPERSON LUDERER: Dr. Wilson.

5 PANEL MEMBER WILSON: So I had a clarifying
6 question then about the reporting of the results for the
7 different programs. And if specifically the report -- the
8 results are obviously going back to the Program -- you
9 know to the participants. But are these results also
10 going to be made available publicly for all the different
11 projects?

12 MS. HOOVER: Yes. A summary. You know, so
13 individual results go back to the participants, and then
14 summarized versions of the results for each project will
15 be available. Does that answer your question on the
16 website?

17 PANEL MEMBER WILSON: Yes, it does. I guess the
18 question is the -- if it will be -- if it's overly
19 summarized, it makes it difficult to use. But if it's --
20 obviously, you can't granulate it down to individual
21 levels. But my sense is sort of to Julia's point, that
22 this is -- you know, it's unique information that we're
23 generating here. And it will be used -- I think people
24 will -- I'm just looking at, you know, any number of these
25 that the public will be able to take this information and

1 put it to use in various ways.

2 And so as much -- I just am encouraging the
3 Program to make as much information public as we possibly
4 can, you know, and to avoid making it, you know, too
5 aggregated, but to lean toward, you know, further
6 granulation of information. And as much as we can make
7 public as possible.

8 MS. HOOVER: I guess I'm going to say one
9 comment, and then maybe if anybody from DPH wants to
10 follow on. But it's a good point. And, for example, our
11 original data summary report, we aggregated all the
12 projects to report to detection frequency.

13 So now, we're actually going to -- we're now
14 going to the next step, where we're actually pulling out
15 individual projects. So this will not be overall
16 aggregated, because you can wash out, you know, things --
17 differences you see among different populations. So this
18 will be back to individual projects.

19 Ultimately, down the line, you know, there may
20 again be a larger aggregation to look at -- you know,
21 depending on how much data we collect, and if it's valid
22 to do some aggregation later down the line when we have
23 more results.

24 PANEL MEMBER WILSON: Okay.

25 Just so you know, we really -- closer.

1 MS. HOOVER: Identify yourself.

2 DR. FENSTER: Oh, my name is Laura Fenster. And
3 I'm an epidemiologist with the Biomonitoring California
4 Program.

5 Sorry.

6 We spent a lot of time taking the Panel's
7 recommendations very seriously when this group of us met,
8 both -- a lot of members of EHIB, and Sara representing
9 OEHHA. And one thing that we did talk about was if there
10 was something in particular we noticed that seemed higher
11 in the California population, versus the NHANES
12 population, we wanted to take the time to find the most
13 appropriate NHANES population, put link to that. I mean,
14 this is for future development, but put a link to that and
15 draw that out.

16 So really carrying forward the mandate of this
17 data being useful to, you know, a broader section of
18 Californians, rather than our participants. And then, of
19 course, there's been, as you know, so much time put into
20 fact sheets about how to minimize or decrease exposure.
21 We would also link to those chemicals in the hopes that it
22 would be both educational and decrease exposures, to the
23 extent we can.

24 PANEL MEMBER BRADMAN: I just want to follow-up a
25 little bit too. I wanted to clarify, Mike, what you're

1 suggesting, because if you go to the NHANES database, they
2 actually, you know, have publicly available data down to
3 the individual. Of course, it's anonymized, but for
4 many -- for much of the data that's available, at least on
5 a national basis, you can get individual level data. Of
6 course, it's all anonymous.

7 And I was wondering is that the kind of approach
8 you were suggesting, or were you thinking by study or by,
9 you know, maybe additional subcategories like gender or
10 age or that sort of thing?

11 PANEL MEMBER WILSON: Yeah. I guess I have a
12 question first, which is that when you mentioned
13 aggregation, Sara, were you talking about aggregating
14 across the different projects or within projects
15 themselves? And then I'd like to respond to Asa's point.

16 MS. HOOVER: So basically, I'm going to stand
17 here so Laura can add on. What I'm talking about is, you
18 know, originally we couldn't pull out the individual
19 projects, because we couldn't release that level of
20 information, so we aggregated it to report detection
21 frequency. Now, we're backing off and showing each
22 individual project.

23 I'm thinking about like longer down the line, if
24 we had some logical way -- a valid way to go across
25 studies again, then we might present, you know, aggregated

1 data again, based on some, you know, statistically valid
2 approach.

3 DR. FENSTER: I don't really have anything to
4 add, other than I think we'll just be closely looking at
5 the data to see any trends or ways to look at it that
6 would be informative to protect public health or to raise
7 issues, in terms of future activities for the Program that
8 you might also have. I mean, we'll be sharing it with you
9 and you'll also have the opportunity to look it and maybe
10 come to some recommendations.

11 PANEL MEMBER WILSON: I guess -- so if I could
12 respond the Asa's question. It seems to me that it would
13 be -- you know, it's useful to have some interpretation
14 and aggregation of information of findings within each
15 project. So, you know, your FOX study, here's what we
16 found, among California firefighters, and aggregate that
17 data, make it -- but it's also very useful to have, I
18 think, you know this -- the individual level findings that
19 could be used by researchers and others to advance, you
20 know, and sort of amplify your findings in the literature
21 and in additional studies, and to augment studies that
22 other are doing.

23 DR. WATSON: I want to answer -- give a response
24 to that.

25 I'm Berna Watson, from Biomonitoring California

1 Program. Well, from the beginning, when we are
2 managing thinking about managing the data, in terms of
3 data availability. Well, in addition to data being
4 presented as an aggregate data, individual data first
5 needs to be presented to the individual participants, and
6 that will be shared with our collaborators.

7 And after there is a certain period of time that
8 we have decided. And after that, the data will be
9 available also to people who request from the Department
10 of Public Health, from the Biomonitoring California
11 Program. So it will be researchers when they request that
12 this can be available. But first, it will be available to
13 our collaborators to, you know, helping us in the field to
14 do these projects in MIEEP or FOX.

15 PANEL MEMBER WILSON: Okay.

16 DR. LIPSETT: Could I just respond as well?

17 Michael Lipsett, Department of Public Health.
18 And that's a very interesting suggestion, Mike and Asa.
19 And it's something that we actually have not really
20 thoroughly discussed as a Program, so it's something we
21 will talk about in the future.

22 We may have -- you know, these data sets are
23 different though, as you know, from NHANES. NHANES is
24 population based, probability sample nationally. You
25 know, thousands of people a year who are analyzed. And

1 these are very small study population. So there may be
2 some confidentiality issues even, you know, providing
3 individual level anonymized type of data. We may be able
4 to do this and we'll talk about it. And this will be
5 something that we could talk about in greater depth, you
6 know, once we, as a Program, have had a chance to reflect
7 on it. But it's really interesting and thank you for the
8 suggestion.

9 PANEL MEMBER WILSON: Very helpful. Thank you.

10 CHAIRPERSON LUDERER: All right. Any additional
11 comments?

12 Asa Bradman.

13 PANEL MEMBER BRADMAN: I have a comment that's
14 not related to this template, so if there's more
15 discussion related to Michael's presentation, when you're
16 ready, we can move onto that.

17 CHAIRPERSON LUDERER: Well, we are behind, so we
18 don't --

19 PANEL MEMBER QUINT: Okay. I won't ask then.

20 CHAIRPERSON LUDERER: But Asa Bradman, did you
21 have a comment?

22 PANEL MEMBER BRADMAN: Sorry. This was not a
23 clarification to Dr. DiBartolomeis' presentation rather, I
24 think your second bullet raises a lot of discussion issues
25 that we have to consider in the future.

1 There has been a fair amount of discussion within
2 the Panel. And there was actually a strong sentiment, in
3 previous discussions, that we should separate any sort of
4 risk -- assessment of risk evaluation from the
5 Biomonitoring Program to avoid the program getting bogged
6 down in, you know, issues of judgment and conflict over,
7 you know, cut points and things like that.

8 So I think there's some rich opportunities for
9 more discussion there, and maybe that's not appropriate
10 for today, but there's some history here that we might
11 want to revisit and budget time for in the future.

12 CHAIRPERSON LUDERER: Dr. Solomon had a comment.

13 DR. SOLOMON: Well, I just -- I know we're
14 running behind. Gina Solomon, Cal/EPA. But I did have a
15 question for the Panel about the results template table,
16 because it's something that I've wrestled with about how
17 to talk about biomonitoring data, which is, as you all
18 know, the results are almost extremely skewed. And so
19 even reporting out the 95th percentile is actually
20 reporting out, you know, nowhere near the highest value.

21 And so is there any benefit to -- you know, to
22 thinking about is there some way to address that perhaps,
23 or -- I mean 95th percentile is sort of where you get, you
24 know, with sort of, you know, some statistical confidence.
25 And so, you know -- but, you know, perhaps, you know, for

1 a large enough sample size, you could start looking at
2 98th percentile or others. And I'd be interested in what
3 the Panel thinks about that.

4 CHAIRPERSON LUDERER: Dr. McKone.

5 PANEL MEMBER MCKONE: Well, this goes to the -- I
6 was actually going to raise this point, and then thought
7 it was kind of picking at details. But where it says
8 geometric mean and 95 percent confidence interval. First
9 of all, I probably wouldn't call it a confidence interval,
10 even though statistically it is. It tells -- what does it
11 mean that we're confident -- I mean, when you're putting
12 us out to the public?

13 It's really the range, and what it is is it's a
14 range from 2.5 to 97.5, right. So you actually have
15 almost a 98th percentile in that range. And it might be
16 in like the mean in a range there and then the
17 percentiles.

18 I don't know if -- I mean, I think you have to
19 ask the question about -- well, I mean, the other question
20 I'm assuming when you say the 95th percent confidence
21 interval, you're not talking about the 95th percent
22 confidence interval about the geometric mean. Sometimes
23 people report that.

24 (Yeses.)

25 PANEL MEMBER MCKONE: Oh, you are. Oh. All

1 right. Well, why couldn't you just do another one with
2 not the percentiles but the full range across -- oh, okay.
3 So that's the confusing point, I guess, is whether --

4 MS. HOOVER: Yes. Let me just pipe in.

5 PANEL MEMBER MCKONE: That's a 95 percent
6 variance, so it is --

7 MS. HOOVER: Okay. So Laura can answer that.
8 Before I hand the mic to her, just to be clear, this is
9 not set in stone. This is a sample template. Sometimes
10 there will be less. Sometimes there could be more. If we
11 had the robustness to go out to 99th, we could add that.
12 So this is not like that, you know, the be all and end all
13 of what each thing is going to look like. And some might
14 not have the 95th. Some we can't necessarily calculate
15 the geometric mean.

16 So, Laura, you want to just say something about
17 that.

18 DR. FENSTER: I don't want to take up too much
19 more time with this, because we have discussed it. But I
20 think we wanted to develop a template that could be used,
21 so that the data could be compared to other studies, to
22 NHANES, something that we could say that we would -- we
23 went through the different descriptive characteristics and
24 that these we could agree on.

25 I looked up papers for CHAMACOS that we worked

1 on, and these were all common elements. NHANES are very
2 common elements. But if you have specific suggestions.
3 And, again, I like what Sara said. It's not the be all
4 end all, it's just basic components that we could present
5 on the web for, you know, all of the work that we've done.
6 If something isn't captured in this, of course, we would
7 try to, you know, address that in the table.

8 MS. JOE: This is Lauren Joe, CDPH. And one, you
9 mentioned presenting a range, you know, the minimum to the
10 maximum. And one reason why we thought maybe not to
11 include that is for the minimum and max, it would identify
12 one individual. And some of the studies are really small,
13 so we want to keep it to just the general range.

14 But it's a good point about the 99th percentile.
15 And I think for some of the larger studies we may be able
16 to include that.

17 Thanks.

18 PANEL MEMBER MCKONE: Just a follow up. I
19 certainly agree. One of the problems you're going to
20 have, is you can't really do the -- the minimum is going
21 to be the limit of detection for most of these studies, so
22 you really -- it's a little deceptive to say, oh, this is
23 the minimum. It's the minimum, because it's set there.

24 I agree, don't -- anyway, the highest value could
25 sometimes be so far out. And it's like -- but the 99th

1 percentile is probably a good thing, if you want to show
2 the highest likely N.

3 CHAIRPERSON LUDERER: All right. I know we're
4 having a very lively discussion here, but we do need to
5 move on.

6 MS. HOOVER: And just to let you know -- sorry.
7 I'm just offering. There's lots -- I'm the one cutting it
8 off, so I'm just going to offer, if you have thoughts on
9 this, please email us. You can definitely give us input
10 by email. That's it.

11 CHAIRPERSON LUDERER: All right. Thank you.

12 So our next presentation is going to be by Dr.
13 Myrto Petreas, who is Chief of the Environmental Chemistry
14 Branch in the Environmental Chemistry Laboratory at DTSC.

15 And Dr. Jianwen She, who is Chief of the
16 Biochemistry Section of the Environmental Health
17 Laboratory Branch at CDPH.

18 And Dr. Petreas and Dr. She will provide
19 laboratory updates and present recent Biomonitoring
20 California results.

21 (Thereupon an overhead presentation was
22 presented as follows.)

23 CHAIRPERSON LUDERER: Dr. Petreas.

24 DR. PETREAS: Good afternoon, everyone.

25 I'll look this way. Okay. So I'll try to be

1 pretty quickly here. So I'll give you an update of the
2 status where we stand. And you may have noticed I'm
3 recycling these, so it's the same formatting.

4 So I'll talk a little about our staffing and
5 resources, our quality assurance programs. Then progress
6 on where we are with the different field studies, and
7 finally, some preliminary results and future activities.

8 So no changes in staffing. We still have our two
9 originally funded staff, plus the four staff funded by the
10 cooperative agreement from CDC. And we're very grateful
11 for that.

12 But, of course, the work we do could not have
13 been accomplished without the in-kind support of all these
14 other DTSC funded positions, so including supervision and
15 another activities. It's a happy bunch and we're a good
16 team together.

17 (Laughter.)

18 DR. PETREAS: So quality control. It's in
19 session for the Program. We participate in every formal
20 proficiency testing. The PFCs is the only class that CDC
21 provides us with the proficiency material. And we've done
22 it twice already with them, and we got a perfect score.
23 We also participate in the Arctic Monitoring Assessment
24 Programme for persistent organic pollutants. And we've
25 done it already once in 2012 and we passed again a hundred

1 percent.

2 And as the slide shows here, we were doing it in
3 2003 -- 2013. It was underway last week, but we got the
4 results, and it's again hundred percent. So we're doing
5 pretty well in everything we participated. And in
6 addition to this formal PT programs, we collaborate with
7 scientists from UCSF all the way out to Korea and Sweden.
8 So for many different new methods, we rely on
9 collaborating with other programs.

10 Of course, we use certified materials when
11 they're available. And I guess we have a very good
12 quality management program, but you wouldn't expect
13 anything else from us.

14 (Laughter.)

15 DR. PETREAS: The steps -- I'm using a different
16 form to record the status of where we are on the
17 different -- the three major studies, MIEEP, FOX, and
18 BEST. So we had completed all the persistent organic
19 pollutants on MIEEP sometime ago. And the results have
20 already been sent. The same thing with FOX. And we have
21 completed the PFCs and the PBDEs of the BEST. We're still
22 working on the PCBs and OCPs from them.

23 The hydroxy-BDEs, I want to make a correction to
24 Dr. DiBartolomeis presentation, we didn't have any
25 technical difficulties. We had another method, but we

1 didn't like it, because it was using diazomethane, which
2 is a very hard to use chemical to derivatize. So rather
3 than GC-MS, we waited and spent some time to develop a
4 method for LC-MS, and now we have it. And, in fact, it's
5 a new LC-MS method for hydroxy-BDEs. It was presented
6 just a few days ago at the BFR meeting. And we already
7 started, and we have analyzed 50 of the 140 samples. So
8 we should have results pretty soon on it. And this will
9 complete the MIEEP study.

10 And BEST is coming along.

11 Last meeting, I gave a little presentation about
12 the California Teachers Study. Just to reiterate, it's
13 a -- this is a long -- this is a longitudinal cohort that
14 was established back in the nineties. Women have been
15 followed for so many decades. And so in collaboration
16 with the Cancer Prevention Institute of California, Dr.
17 Peggy Reynolds is the PI, UC Irvine, University of
18 Southern California and City of Hope our lab was funded by
19 the California Breast Cancer Research Program to look at
20 the certain hypothesis of breast cancer and the exposure
21 to persistent organic pollutants.

22 So blood samples are collected from about a
23 thousand cases and a thousand controls from the entire
24 State. We started recruiting in 2011. It will be
25 completed by 2013. And the samples will be analyzed for

1 PCBs, PBDEs, PFCs and will be sending to clinical labs for
2 thyroid, hormones, and lipids.

3 So progress. Everything is yellow. Nothing is
4 green. Even though, it looks green there, it's yellow on
5 my screen. So we're still in progress. We have received
6 about 1,700 samples. They keep coming in batches. And we
7 have different processes. So PFCs are on their own, so we
8 start from scratch on the PFCs.

9 And just to follow that column, we have extracted
10 157 samples, as we speak, as of April 1st. That was when
11 we stopped. And the first batches have been already
12 completed, and the data have been released to the
13 person -- to the principal investigator, so we show
14 results from 614 women already. For PCBs, OCPs, and PBDEs
15 are analyzed in a different procedure.

16 And, so far, we have completed and released to
17 the PI 323 results from PBDEs. PCBs and OCPs are coming
18 along.

19 So just to describe, for those 614 women for whom
20 we have results for PFCs, these are the demographics that
21 we can report here, in terms of race and age. I should
22 mention this is a quite old cohort. I think the median is
23 in the sixties, if you look at -- oh, sorry. Go back.

24 So it's an older population. It's primarily
25 non-Hispanic white. But one of the aims of the study is

1 to look at discrepancies and the disparities among
2 different racial groups. So this is a population, and
3 these are the results where the 614 women. Now, this is
4 trying to use a template that we're discussing, so it's an
5 excerpt of this template.

6 And you can take a look at how it would look. We
7 list the perfluorochemicals on the first column, so the
8 chemical name is there. Geometric mean and the 95th
9 confidence interval of the geometric mean are shown in the
10 second column. And then the percentiles of our population
11 are shown in the right part of the table.

12 Whenever, we had fewer than 65 percent detects,
13 we did not calculate the percentile or a geometric mean.
14 And LOD means lower than limit of detection.

15 So this is how results will look. So this is a
16 subset of our population, as of April 1st of 614 women.
17 Using the same template, we'll be showing here the
18 comparison to the NHANES. So the right hand -- the left
19 part remained the same. The right-hand columns changed,
20 and now instead of our distribution, it shows the NHANES
21 geometric mean.

22 In here, we selected the women 40 years and above
23 from the NHANES. So this limits to only 674 women from
24 NHANES, very similar to our overall number. But please
25 note that NHANES, the latest data available from 2009-10,

1 whereas ours is about three years later. We didn't want
2 to go, at this point, and make any comparisons, and vague
3 comparisons between the populations. We want to have a
4 few more numbers there and digest. And we're working on
5 manuscripts so we can describe there on what we see and
6 what the limitations of these comparisons may be. It's
7 not only the time period of collection, it's the age. So
8 there are different things like that.

9 So continuing with the PFCs, the table will also
10 show the detection frequency. Again, the same chemicals
11 are listed in the first column. Detection frequency was
12 from a hundred percent down to 13 or 14 percent. And we
13 are also showing the limit of detection. So that's for
14 the PFCs.

15 Then we also released PBDEs -- released to our
16 PIs, to our collaborators. And these are the data we are
17 proposing to post on the website as soon as we can. So
18 the age and race distribution for the 323 women for whom
19 we have PBDE results, they're not -- some -- there's
20 overlap, but not completely overlap here. It's shown
21 here. Again, it's an older cohort -- older population.
22 Median is round 60 something.

23 And the results now for the PBDEs are shown here.
24 We measured many more congeners, but we only report the
25 ones that we can compare with the NHANES the future and

1 also are measurable.

2 So again, the same idea, the name of the chemical
3 is in the first column. Geometric mean and confidence
4 interval, followed by selected percentiles. And again, we
5 changed to show the NHANES data, again for women over 40
6 years and above. And this is a comparison here. Again,
7 here the difference is even more drastic, because the
8 latest NHANES was 2003-4, whereas ours is 2011 and '12.

9 So this will be the template that will be used.
10 I need to pause here and say that we do see a drop in this
11 data, even though they're different time periods. And we
12 have report -- I can say that, because it was reported in
13 the BFR meeting. We had two posters, one with the Dr.
14 Reynolds and her group, and one with Dr. Zota from UCSF
15 and her group.

16 And in the first poster, it was this exact same
17 PBDE data from the Teachers Study. And we compared those
18 to the same NHANES, and we compared those to a previous
19 study we had conducted with Dr. Reynolds back in the late
20 nineties on breast cancer from Stanford and Kaiser
21 populations. It was adipose tissues, in that case,
22 whereas it's blood here. So there are a few caveats and
23 different demographics, but we see statistically
24 significant drops in BDE-47 and 100, not in BDE-153. And
25 there are some explanations for that in terms of

1 half-lives.

2 More drastically is the difference we see with
3 Dr. Zota's study on -- these are pregnant women. We have
4 published the first results collected in 2008-2010. And
5 the newer data from the same population from San Francisco
6 General Hospital, same demographics show again a very
7 significant drop in the distribution -- in the
8 concentration of PBDEs, even in such a short period of
9 time.

10 So I think we can show that biomonitoring does
11 work and shows differences, because when PBDEs were
12 restricted or banned, at least we can see now we can see
13 the effect, because we can see it in our bodies.

14 Of course, we heard them this morning the other
15 chemicals that are being used instead of PBDEs and soon we
16 should be able to have measurements of those, but
17 unfortunately they should be going up, but we don't know.

18 So that was about the PBDEs. And again, going
19 back to the table, we'll be showing the same format of
20 detection frequency, and limit of detection, and here we
21 have to explain that the limit of detection in the lab is
22 determined on, what we call, wet weight. So you analyze
23 something in the liquid blood.

24 But to compare with others, the persistent
25 lipophilic compounds, we need to adjust for the lipid

1 content. And because lipids vary between individuals,
2 usually we have different ranges, including the detection
3 limit. So we propose to be showing both the wet weight
4 detection limit and the lipid weight for those who are
5 chemists and want to know exactly the lipid adjusted
6 measurements. Okay. I think that's thus far of the --
7 the results so far.

8 Now, we talked about sustainability of the
9 program and how can -- where can we find more samples. So
10 the Genetic Disease Screening Program is great resource
11 that's a statewide archive of prenatal serum samples. The
12 question is can we use it for Biomonitoring California?

13 And the questions to me and my lab was more
14 technical, in essence, of -- so the questions we've had is
15 do we have enough volume to analyze the chemicals we want?

16 And how were samples collected? I mean, what
17 kind of tube. Will there be any problem with the tube?
18 Do we know how these tubes behave? And could there be
19 chemical contamination? Could we trust the results that
20 have been archived and processed in different ways, not
21 having in mind that this will be used for trace analysis
22 of certain chemicals?

23 The purpose of this is to look at proteins
24 basically for genetic diseases. So we're in discussions
25 with the Genetic Disease Laboratory in Richmond. And our

1 staff visited the lab, after discussion, to observe and
2 discuss what exactly happens.

3 So what we found out that the GDL, Genetic
4 Disease Lab, the serum samples are placed in some trays
5 and they stay uncovered for many hours, which, in our
6 case, is a no, no, because we don't want to have anything
7 exposed, but we have to observe how it was done.

8 They go sequentially through three different
9 machines or plungers that go, insert it into the tube,
10 aspirate some volume, test it, and then proceed again to
11 the next machine. And if something goes wrong, we have to
12 repeat it. So it could be many hours that the samples are
13 out, and can be sampled more than once.

14 So the observation wasn't very reassuring. So
15 what we decided to do was to do some testing. So we
16 provided them -- we got the tubes from them, the same
17 tubes that -- there are serum separator tubes that we
18 hadn't tested before, and we tested them with our bovine
19 serum, and there was no such contamination, so we felt it
20 was okay.

21 Then we filled three tubes with our bovine serum
22 and sent them to them, so they can process them as if they
23 were the regular samples, and then got them back to
24 analyze. And along with ours we got back some real
25 samples that they had processed and we analyzed them. So

1 we had 20 samples from real, you know, prenatal screening,
2 and three bovine serum and they're in the same tubes.

3 What we saw -- we only have analyzed so far the
4 PFCs, and we found the consistent background or PFOS, one
5 of the most prominent PFCs in the lab blanks. However,
6 this background is not significant, because the measure --
7 the levels that we find in every sample is much higher
8 than that. So this will not impact our ability to use the
9 PFOS data.

10 But we couldn't understand where the
11 contamination came, was it the collection tube, was it the
12 chemical lab -- the clinical lab background? It wasn't
13 from our lab, because we had the other controls in the
14 system. The other PFC compounds had no background level,
15 so that's encouraging.

16 So we didn't -- when we analyzed the real sample
17 from two clinical labs that the GDL provided us, we didn't
18 see anything unusual. So the distribution fit, you know,
19 whatever we would expect. So it's encouraging.

20 But the concern for us is not so much the PFCs,
21 it's the PBDEs. And we don't have data yet. So by the
22 time next meeting we should have data, and -- now, I guess
23 this testing can only tell us if we cannot use a sample so
24 we find the problem, we know we cannot use them. But not
25 finding problem doesn't mean that there won't be some

1 problem that we haven't -- just the few samples that we're
2 testing just didn't reveal.

3 Okay. So future activity. So we want to
4 complete the analysis for the remaining chemical classes
5 and hopeful report to you in July.

6 Now, I want to talk to you a little bit about
7 some other collaborations, and some new instrumentation to
8 identify unknown chemicals that people are interested in.
9 So, first of all, in collaborations, the Child Health and
10 Development Studies. Dr. Barbara Cohn is the PI. And I
11 have been working with her for over 15 years analyzing
12 samples for many different studies.

13 So what this is, it's a fabulous resource. This
14 started about 50 years ago, where Kaiser Permanente
15 members, pregnant women -- about 15,000 pregnant women in
16 the Kaiser system participated in the study. And this
17 comprised about 90 percent of all pregnant women who
18 received obstetric care at Kaiser between '59 and 1967.

19 So there's archive data from medical records, a
20 baseline interview from all the participants that included
21 demographics, pregnancy and a reproductive history, and
22 the smoking, alcohol consumption, and such. And there's
23 archived serum, either from before birth, first, second,
24 and third trimesters or postpartum.

25 (Laughter.)

1 DR. PETREAS: Okay. So it's a fabulous resource.
2 And again, our lab has collaborated and have generated a
3 little over 2,000 measurements of PCBs and pesticides in
4 the maternal sera. So these are all women from the
5 sixties. So not much interest for a Biomonitoring
6 Program, but a lot of information and a lot of interesting
7 work.

8 The interesting thing now is that there are new
9 studies in progress, and we have been funded by the
10 California Breast Cancer Research Program to do what's
11 called the Three Generations Breast Cancer Study, or 3Gs.
12 So the idea here is we have the mothers in the sixties --
13 who gave birth in the sixties. And now that some of the
14 daughters have reached the age, and they developed breast
15 cancer.

16 So the studies of the daughters and controls of
17 these women whose maternal sera we have characterized and
18 whose information we have. So this 3Gs study expands the
19 original CHDS study, because we are adding the second
20 generation of adult daughters. And the plan is to get
21 even the third generation of daughters in the future.

22 So these second generation daughters is
23 contemporary women. So it's really -- it's from
24 California. The specific questions that we are going to
25 look is does the daughters exposure in utero to the

1 environmental chemicals that her mother's blood contained,
2 does that increase her probability for breast cancer? So
3 this is to look at maternal serum. So we'll be doing
4 that.

5 But what's of interest for this Program is that
6 we'll be looking at the daughter's serum, which is
7 collected 2012 and '13, and looking at if whether these
8 environmental chemicals and metabolites in the daughter's
9 blood, do they differ by race, income, and other
10 subquestions and subhypotheses. And also how do levels
11 between mothers and daughters compare? Could you predict
12 the mother's outcome by -- the daughter's outcome by the
13 mother's blood?

14 So we think that the 3Gs study can really benefit
15 by Biomonitoring California, and Dr. Cohn has agreed to
16 share the data with us. So we'll be analyzing 300 of the
17 daughters for pesticides PCBs, PFCs, PBDEs, and
18 hydroxy-BDEs. And the results will be incorporated in the
19 Biomonitoring California database.

20 So the same thing we did with Dr. Reynolds we'll
21 be doing with Dr. Cohn's data. And, to me, it's ideal for
22 program sustainability, because we're getting the samples
23 without much effort from the Program, and we get funded to
24 do the analysis. So it's a big synergy. So that's
25 something for our future collaborations.

1 Okay. Finally, instrumentation for identifying
2 unknowns. We are very excited, because the CDC has agreed
3 to allow us to request to buy in our fifth year of the
4 cooperative agreement, and some instrumentation that would
5 allow us to look at unknowns.

6 One of the requirements that CDC had originally,
7 when we first proposed that five years ago, it was too
8 researchy. So they didn't like to do research. And now
9 they would like us to have something that can give us both
10 qualitative and quantitative capabilities.

11 And fortunately, the technology has improved,
12 prices have dropped, and we're discussing with CDC
13 experts. They don't have a TOF -- or, I'm sorry. I call
14 it TOF, but it's a -- let's say it's an instrument that
15 allows us to identify unknowns. It can be a Time of
16 Flight or other technology.

17 So we have been in discussion through our project
18 manager, Lovisa, with her experts that do TOF, mostly for
19 the bioterrorism programs or other programs. We are in
20 contact with the different vendors and our staff get
21 information from users and vendors. And we're going to
22 have a discussion where Lovisa visits us this month, and
23 try to finalize what we want to do. But it is very
24 hopeful and very promising, because this would allow us to
25 go beyond the knowns.

1 So two minutes.

2 (Laughter.)

3 CHAIRPERSON LUDERER: Thank you, Dr. Petreas.
4 I'm sure everyone on the Panel will agree with me that
5 that's very exciting to hear that last slide about the
6 identifying unknowns. It was, I think, in 2011 that Roy
7 Gerona came and talked to us about techniques for doing
8 that. And that's something that the Panel has been very
9 supportive of for quite awhile. So thank you.

10 CHAIRPERSON LUDERER: We'll have -- should we go
11 on to the second presentation and hear from Dr. She and
12 then ask questions about both lab updates at the same
13 time.

14 All right. So Dr. She.

15 (Thereupon an overhead presentation was
16 presented as follows.)

17 DR. SHE: Good afternoon, and welcome, members of
18 the SGP and audience. Today, I will provide an EHL update
19 and some preliminary results for some phthalate and
20 hydroxy-PAH data for the FOX study.

21 I'm going to update you on our methods in
22 production, project sample analysis status, recent study
23 results, and new method we brought into the production,
24 and finally, our future work.

25 At the last meeting, we reported we have nine

1 methods in production. Since then, we brought the last
2 analyte group we promised the CDC we needed to do. So far
3 we completed all of the method development effort and
4 specified our grant application.

5 So, to date -- and to date EHL have the
6 capacity -- the capability to measure over ten groups
7 of -- ten classes of chemicals with 68 analytes in urine.
8 Again, I'd like to thank my team. They're able to bring
9 this up within a shorter time from -- before the
10 Biomonitoring Program, laboratory only measured one
11 analyte, which is lead. So today, we can measure 68
12 analytes.

13 As specified, our new method in production is
14 perchlorate in urine. This slide shows you the different
15 level of quality controls. So this is three quality
16 control charts. We can use a low quality control, medium,
17 and a high. And it's important that we look at the left
18 corner side of the small tables. You can see within the
19 24 repeated runs, we can reach very low relative standard
20 deviation. So that's -- most of the time that's below 10
21 percent. Our method is very precise.

22 Also, if you remember in our -- in my last
23 presentation, we used NIST standard reference materials.
24 We have very high recovery and prove our method is very
25 accurate.

1 By the way, this method can reach 25 ppt levels.
2 So it's a very important method, because some study found
3 in California perchlorate maybe -- you know, the
4 population may be higher than the general population.

5 We have 12 samples, which are measured by CDC,
6 also measured by our lab. So from this slide we did a
7 correlation analysis. You can see comparing our results
8 with the CDC results, our slope is almost close to one.
9 Intercept is very small, not significantly different from
10 zero. Our coefficient of R^2 is very good. So this slide
11 demonstrated our method performance is equivalent to CDC's
12 method performance.

13 Next few slides I will talk a little bit about
14 our analytical status. You hear Dr. Mike D's
15 presentation, so that more details like -- we finish the
16 MIEEP study. On the secondary columns, you can see we did
17 136 metals in blood, and there are about 89 different
18 class of chemicals in urine.

19 And second bottom column, we analyzed also 13
20 participating samples for the arsenic -- speciated
21 arsenic.

22 Also, for the FOX samples, except arsenic
23 speciation work still under review, all of the other
24 analytes we already reported to EHIB for the result
25 return.

1 And the last column shows our progress on the
2 BEST samples. We finished all of the metals in blood and
3 60 samples for perchlorate analysis were finished. And in
4 between this analyte, we needed to finish in like the next
5 few months.

6 In next few slides, I try to show a little bit of
7 the arsenic speciation result and the progress. So this
8 slide, the first column shows which speciated arsenic we
9 are looking. And this result has come from the MIEEP
10 projected of the -- I mentioned only six analytes was
11 for -- the 13 samples was analyzed for speciated arsenic,
12 so that detection frequency don't mean so much. It comes
13 from very small sample size.

14 As you may remember, we also analyzed total
15 arsenic with different method. So we compare our
16 speciated method result and the total arsenic result from
17 different analytical procedures. So from this slide, you
18 can see our speciated result correlated very well with the
19 total arsenic. That means we didn't miss any major
20 species.

21 Within the six species we monitored, we found two
22 of them are the dominant ones, which is DMA, dimethyl
23 arsenic and arsenobetaine. So because they also --
24 there's two species the sum of them correlated with the
25 total very well. So the other species, like arsenic III,

1 V, that present but are not like the other major species.

2 And the next two slides -- few slides, I will
3 talk a little bit about the California firefighter
4 studies, compared with NHANES general populations.

5 This first slide is the phthalate result
6 comparison. Our laboratory measured a total of six
7 analytes, including MCHP. And in this table, I didn't
8 list them, because most of the time they are below
9 detection limits. So the five of them above -- most time
10 above the detection limit, you can see our detection
11 frequency listed on the last and second columns is above
12 80 percent.

13 Compare with the NHANES detection frequencies,
14 we are a little bit lower on the MEP, because this -- for
15 this analyte our method is slightly inferior on the
16 detection limits. We have 80 ppb. So that may cause the
17 detection frequency to lower a little bit. But for all
18 the other ones, it's comparable.

19 If you look for geometric mean at 95th percent
20 confidence intervals, it's overall -- the phthalate level
21 in the firefighter is lower than the general population.
22 But we need to remember is firefighters sampling is not
23 done after that firefighter activities. It is like
24 off-duty sampling.

25 And phthalate, most of the time we use for the

1 firefighters gloves, hood, and showed not affected so much
2 by the firefighter activity. That's my personal reading.
3 But even with this, it's still low.

4 This is a graphic we present -- graphic show of
5 the same information I show you in the previous tables.
6 You can see that started with the highest levels of ones
7 we found, which is MEP is a metabolite of diethyl
8 phthalate. We found low levels compared with the general
9 population. The general population that means the NHANES
10 measured over age of 20, from 2007-2008 results.

11 So also the MCEPP, the level is low too. MCEPP
12 is the second highest analyte we found. MCEPP, by the
13 way, is a metabolite of DEHP, the secondary metabolite by
14 DEHP.

15 For the other ones, you can see MBP, MCPP. Both
16 of the metabolites have DBP, but MCPP can have two
17 parents. One is DBP, one is dioctyl phthalate. So we
18 will do further analysis, for example, try to associate
19 the MBP and MCPP to see how they are correlated. So this
20 is very initial detail we try to show. The bigger picture
21 I wanted to say is the levels are low.

22 This is some initial comparison of hydroxy-PAH
23 from Southern California firefighters. And then NHANES, I
24 considered general population data. So overall, again,
25 the level is low, but as I mentioned, PAH may be released

1 during a firefighter event. So this simply was not
2 collected maybe immediately after the firefighter event.
3 It's like a few days. So this result maybe not typical to
4 represent a real firefighter studies. For example, New
5 York -- like after World Trade Center, CDC conducted
6 firefighter studies, and they find a different result. So
7 this maybe not typical to say the firefighter did not
8 expose to the PAH. Maybe only due to our sampling time
9 didn't catch it.

10 This is a graphic representation of the same
11 information I showed before. I need to point out for
12 1-hydroxynaphthalene, and 2-hydroxynaphthalene is scaled
13 down time by 10. As I mentioned before, the Southern
14 California firefighters had a lower concentration for all
15 hydroxy-PAH in this study.

16 Now, I want to talk about your new chemicals.
17 For example, this modeling -- in Dr. Linda Birnbaum's
18 study she talked about the BPS. And currently, our
19 laboratory measures the BPA, as you know. CDC found BPA
20 levels around one ppb.

21 But some recent studies, especially from New York
22 Biomonitoring Program, that found a few other chemicals
23 BPS, especially BADGE and the first chemicals I showed on
24 the pictures. The levels -- they collect 127 samples --
25 urine samples, which is half maybe from America, half from

1 China. They found the BADGE level in Chinese samples is
2 three times lower than American samples. It's similar to
3 the PBDE we found. America PBDE is much higher than other
4 countries. This interested us, the information.

5 And compare with the BPA, BADGE is substitute,
6 but BADGE's level in that study reported by Dr. Liao is
7 three times higher than the BPA's level. This is scary
8 substitute. The level is higher than the chemical they're
9 trying to substitute.

10 So the laboratory right now working on all of
11 these few chemicals, and include maybe more in the future.
12 The challenging part, as I mentioned are the levels. Even
13 the BADGE is three times higher than BPA is about three
14 ppb. So that's a required method of very low detection
15 limit.

16 New York program reported 20 ppb -- 20 ppt
17 detection -- so we're still working on that to reach that
18 level. So that may take us a few more months. I hope by
19 next SGP meeting we will have some more positive results
20 to report.

21 So this is my last slide. And as I mentioned, in
22 the next three months we'll try to finish this BPA method,
23 and complete FOX data review. That's only one analyte
24 group, arsenic speciation, and analyze Pilot BEST samples,
25 and analyze some further laboratory collaboration samples.

1 By the way, we have a collaboration with
2 University of Irvine. Dr. Ulrike, we finish all of the
3 sample analysis. We just need to return the results to
4 you.

5 And develop -- further develop and validate our
6 automated sample process procedure to increase our
7 throughput.

8 Thank you.

9 (Applause.)

10 CHAIRPERSON LUDERER: Thank you, Dr. She for that
11 presentation. It's always great to see all the progress
12 that the laboratory has made between one SGP meeting and
13 the next.

14 We have time now from the some clarifying
15 questions for either Dr. She or Dr. Petreas from the Panel
16 members?

17 Are there any clarifying questions, comments?

18 Dr. Bradman.

19 PANEL MEMBER BRADMAN: It's kind of a boring
20 comment. But I just had a question for Myrto. If you had
21 checked for evaporation in the samples, you said that the
22 genetic disease lab have the vials out for a long time
23 uncovered, and if the volumes were low, is there a
24 potential for evaporation?

25 DR. PETREAS: We didn't check that. Drying out,

1 you mean?

2 PANEL MEMBER BRADMAN: Yeah, exactly.

3 DR. PETREAS: I mean that would have been
4 assessed by probably the lipids or the -- no.

5 PANEL MEMBER BRADMAN: Okay. I just wondered --

6 DR. PETREAS: But it wouldn't change the POPs.
7 It wouldn't change the chemicals there.

8 PANEL MEMBER BRADMAN: Well, on the wet basis,
9 like the lipid basis, you know, I think the lipids would
10 evaporate, but in the wet basis, it might change the --

11 DR. PETREAS: Okay. So you have a point, because
12 we did the PFCs, and the PFCs we report on a wet basis.
13 Levels of the 20 real samples looked like you would expect
14 within the range that we've seen from others. No, we
15 didn't check that, so it's a point --

16 PANEL MEMBER BRADMAN: It might be something to
17 look at.

18 DR. PETREAS: I don't even know how to look for
19 it. What do I look for?

20 PANEL MEMBER BRADMAN: If you have like a
21 standard reference material or something that -- with a
22 known amount that then went through their system, you
23 could see if got concentrated somehow.

24 DR. PETREAS: But each of their samples goes
25 different number of times through their system, stays

1 different hours outside. What we got is from GDL in
2 Richmond, I guess the reference laboratory, the real
3 samples are getting done in throughout the State in
4 different labs and they are shipped there.

5 PANEL MEMBER BRADMAN: Oh, I see. Okay.

6 DR. PETREAS: So that's why I'm saying, if we
7 find the problem, we know there is a problem. But if we
8 don't find the problem, it doesn't mean there isn't a
9 problem.

10 PANEL MEMBER BRADMAN: Right. Got it.

11 (Laughter.)

12 PANEL MEMBER BRADMAN: And then one last comment.
13 I think you mentioned that the plan was to report the
14 results on both a wet basis and a lipid-adjusted. And I
15 think that's a great idea.

16 DR. PETREAS: The detection limits.

17 PANEL MEMBER BRADMAN: Right. But if I
18 understood correctly, you were going to report on the --
19 in terms of the general reporting that it was going to be
20 on a wet basis and a lipid-adjusted basis.

21 DR. PETREAS: That not what I think, but we're
22 open to that. I mean, this is not -- we have the data in
23 both ways anyway.

24 PANEL MEMBER BRADMAN: I think it's useful both
25 to consider both on a wet basis and a lipid-adjusted

1 basis. I know -- at least in the literature, there's a
2 lot of discussion going on right now, similar to debates
3 around, for example, creatinine adjustment, where lipid
4 adjustment is always the best way, even for some of these
5 lipid soluble compounds, because they also have some
6 aqueous solubility. And also right now, at least in many
7 cases, the method used to compute total lipids is still an
8 approximation, which may or may not be the best
9 approximation.

10 DR. PETREAS: Yeah. We're using total
11 cholesterol and triglycerides and the Philips algorithm to
12 do it. But we have the data of triglycerides and
13 cholesterol and we could -- I mean this is up to the
14 Program what they want to -- we have the data. Whether we
15 want to present or not is something to discuss.

16 PANEL MEMBER BRADMAN: Right.

17 CHAIRPERSON LUDERER: Dr. Wilson.

18 PANEL MEMBER WILSON: Yeah. My question is for
19 Dr. She with regard to the PAH findings for Southern
20 California firefighters and NHANES. And these are -- the
21 PAHs are water-soluble, so they have a fairly short
22 half-life in the body, is that right?

23 DR. SHE: Yes.

24 PANEL MEMBER WILSON: And so I'm just curious
25 what -- you know, if these -- if these findings -- what

1 this -- if you could just interpret these a little more,
2 that what you were saying was that these are sort of
3 baseline levels for firefighters, because it's -- it
4 didn't -- the samples weren't taken obviously after there
5 had been so high exposure period. But is this also saying
6 then that there's basically ongoing sort of baseline
7 exposure to PAH's nationally as demonstrated by the NHANES
8 data on a continuing basis, because of the short
9 half-life?

10 DR. SHE: I will try, and correct me if I'm off
11 the question. So PAH exposure can be multiple source, for
12 example, smoking. To correct the smoking contributions,
13 the laboratory may need to measure the cotinine, which we
14 cannot do that.

15 The second thing is like you're aware this sample
16 was collected during the -- Sandy can correct me -- is off
17 duty, maybe around the one week. We still need to find
18 out more exactly which firefighters are more closer to the
19 firefighting event. So this result here doesn't represent
20 the real firefighter -- typical firefighters.

21 So the one relevant study that New York
22 published, so even -- all of the firefighters, your first
23 response team, I use the centers that study, they have
24 the -- after 911, they collect the sample in the third
25 week for the firefighter, but the fire lasted longer, as

1 still -- fire still -- firefighter activity still
2 continue, so that's through the -- that appears the time
3 they collect the samples. For the special responder
4 commander teams, their levels are higher than the people
5 who not go to respond to that event.

6 But overall, somehow the firefighter's PAH level,
7 if I read the paper correctly, still lower than the
8 general population, according to CDC's study. But I don't
9 know why, because we think this is -- so it depended what
10 kind of fire you fight. So that if wildfire or
11 construction fire, they can expose different, temperature
12 of the fire can PAH be formed. So that may be not typical
13 for a specific event.

14 Also, I think we need to conduct more study, so
15 each fire event can be very different.

16 PANEL MEMBER WILSON: If I could just follow up.
17 Just one more. Would one of the other sources of PAH
18 exposure be diesel exhaust?

19 DR. SHE: (Nods head.)

20 PANEL MEMBER WILSON: I mean, that would -- I
21 would think that would probably be the more continuous
22 exposure problem in the fire services.

23 DR. SHE: Yes, right. Yeah, that and smoking,
24 diet, like barbecues is kind of another event. I'm not
25 sure whether it's continuous, but that's like you're aware

1 this is very short life times and continuous ones may be
2 provided back on. The other event, like barbecue, may
3 provide peak times, but not the continuous basis.

4 DR. McNEEL: If I could do a follow up there as
5 well. Sandy McNeel with the California Department of
6 Public Health.

7 And, yes, Dr. She brings up a good point that
8 when these firefighters were enrolled into our project and
9 provided their biosamples of -- they were a convenience
10 sample that we got during their annual physical exam and
11 fitness testing. And most of these firefighters were
12 coming back on to duty after having been off duty for
13 anywhere from, maybe a day or two, to a couple of days to
14 a week.

15 And we'll be able to look at that a little bit
16 more specifically when -- you know, when we look at some
17 of the other confounding issues and have an opportunity to
18 look at some of the other contributing factors. So we may
19 be able to provide some more information on some of the
20 factors that do seem to relate, or may contribute in
21 addition to, you know, the low levels of the PAHs in these
22 firefighters.

23 Thank you.

24 CHAIRPERSON LUDERER: Yeah. Ulrike Luderer.
25 Certainly for the population, food is probably thought to

1 be one of the major contributors to PAHs, like, you know,
2 maybe a 1 to 17 micrograms per day, depending on how much
3 people -- you know, what types of foods grilled, things
4 people eat, and then polluted urban area as well. That's
5 another major source of exposure. So it's definitely
6 contributing to the firefighter's exposure as well.

7 All right. So we have one public comment. Did
8 we get any additional ones or are we -- just the one.
9 Okay. So we have one public comment, and this is from
10 Renée Sharp from the Environmental Working Group. And
11 then we'll have some time for additional comments from the
12 Panel.

13 MS. SHARP: I realized that this section may not
14 be the time to ask this, but I'm actually looking at this
15 handout that says Biomonitoring California Designated
16 Chemicals. And there's a really lovely long list of all
17 these chemicals that is being tested.

18 And there's one category that doesn't actually
19 list the subchemicals in the category. And so I was just
20 kind of wondering -- that is antimicrobials used in food
21 production. I was just wondering if there was any more
22 specificity.

23 MS. HOOVER: I can send you the document we did
24 on that

25 MS. SHARP: Perfect. Great.

1 CHAIRPERSON LUDERER: All right. Is there any
2 additional comment or discussion about the last two
3 presentations from Panel members?

4 Okay. All right. We will then move on to the
5 next presentation, which is break actually.

6 (Laughter.)

7 CHAIRPERSON LUDERER: Or we could skip the break
8 and be on time.

9 MS. HOOVER: No, we can't skip the break.

10 CHAIRPERSON LUDERER: Okay. All right. So we
11 have a -- should we make it a 10-minute break.

12 MS. HOOVER: Fifteen still for our transcriber,
13 but let's be prompt back, but Laura has one quick comment.

14 CHAIRPERSON LUDERER: So we will be back at 3:15.

15 DR. FENSTER: Just one thing I did want to say in
16 response to Asa's suggestion about the wet weight versus
17 the lipid-adjusted weight. One -- I mean, we really want
18 your input. One thing we've been also trying to temper
19 the amount of detail that gets placed on the web, just in
20 terms of people, you know, having to look at very detailed
21 tables. So, you know, we might want to come back to you
22 and get -- talk with you more about that, in terms of
23 tempering. We want to provide information, but maybe
24 there can be, you know, just like their supplemental
25 tables, some other place to get that, so that people don't

1 become overwhelmed and just, you know, go somewhere else
2 on the web.

3 MS. HOOVER: Now, a break.

4 CHAIRPERSON LUDERER: So we will reconvene at
5 3:15.

6 (Off record: 3:01 PM)

7 (Thereupon a recess was taken.)

8 (On record: 3:17 PM)

9 CHAIRPERSON LUDERER: All right. I think we're
10 to go ahead and get started again, so if everybody could
11 take their seats, please.

12 I'm going to call the meeting back to order.
13 Welcome everyone back from break, and introduce Ms. Amy
14 Dunn, who is a Research Scientist III, and Dr. Laurel
15 Plummer, Associate Toxicologist, both of them from OEHHA.

16 And they will present on the launch of the
17 revised Biomonitoring California website, and provide a
18 demonstration of the new features.

19 Amy.

20 (Thereupon an overhead presentation was
21 presented as follows.)

22 MS. DUNN: Good afternoon. We turned the lights
23 off, so you can see the screen, not so you can take a nap.

24 (Laughter.)

25 MS. DUNN: So as Dr. Luderer said, I'm going to

1 be giving you a little background on what we're doing on
2 the revised website, and then Laurel and I will be doing a
3 demonstration.

4 So I've described to you at previous meetings
5 that we're revising the website from the current meeting
6 based site to develop a site that will appeal to a wider
7 audience and improve access to information.

8 And the new site will be using the new State
9 template, which, for example, is actually much easier for
10 those using hand-held devices to navigate through.

11 Some elements of the site that we're going to
12 show you include new information about Biomonitoring
13 California projects and the chemicals that are included in
14 the Program. There's also easier ways to get to
15 information, both the information from the old site, as
16 well as a lot of new information that we're bringing onto
17 the site.

18 There's also a basic introduction to
19 biomonitoring that's intended for a non-technical
20 audience. I've talked about it briefly with you before,
21 referring to it sometimes as the interactive brochure.
22 And I'm going to have a chance to show that to you today.
23 The revised site also includes a lot of photographs,
24 diagrams, and videos to make it more engaging and dynamic.

25 The launch is coming soon. We're not quite

1 there. We're working on finalizing the content and the
2 appearance of the site and doing some testing to identify
3 any issues.

4 We're doing this testing right now internally,
5 but we'd also like to do some testing with outside people.
6 And so if there's anyone here who would be interested in
7 helping us to test the site, there's a pink sign-up sheet
8 by the exit door. And we'd really love it if you would be
9 willing to either help us, by testing the site, or connect
10 us up with some other people who might be interested to
11 help us to test the site.

12 When the site is ready, we'll make an
13 announcement via our listserv, and also do some other
14 outreach to try to get the word out to people about the
15 new site. And we're going to be doing testing after we
16 launch also to try to get feedback on the user's
17 experience. And as came up earlier, I think with some of
18 the specific features, like the results template, it might
19 make sense for us to just actually test that specifically
20 to make sure that people understand, and that it works
21 well for people.

22 So now, I'd like to take a couple of minutes to
23 acknowledge the efforts of those who have been bringing
24 the site to life. The website development team has been
25 working hard for a couple of years, but with great

1 enthusiasm for the project. And we're excited to have the
2 chance to show the site to you today.

3 And first, it's my great pleasure to introduce to
4 you, Uli Weeren. Uli, would you mind standing up. This
5 is our web developer --

6 (Applause.)

7 MS. DUNN: -- and designer. And he's been
8 bringing our ideas to life, so we're very grateful to Uli.

9 The web development team includes also myself,
10 Sara, and Laurel, who you know, and also Duyen Kauffman.
11 Duyen, will you let people know who you are, who don't
12 know.

13 (Applause.)

14 MS. DUNN: And also Laurie Monserrat who is
15 OEHHA's webmistress. And we rely on Laurie to get our
16 information on the website. We'd be lost without her.
17 And the team is really -- I wrote when Linda mentioned
18 earlier transdisciplinary team, and we all bring -- we
19 each bring our unique talents and perspectives and have
20 been working together to try to create something that we
21 hope will have lasting value for the Program going
22 forward.

23 I'd also like to take a couple of minute to thank
24 some people who aren't on the other slide. And that
25 includes Amiko Mayeno, who was a health educator at DPH,

1 but has since moved on. And I'd also like to thank Robin
2 Christensen who's here in the room.

3 Robin.

4 (Applause.)

5 MS. DUNN: And Robin has helped in many ways, and
6 we'll continue to look to her for help on all the
7 different ways that she has contributed to what we're
8 doing.

9 I'd also like to acknowledge the work done by
10 Health Research For Action at UC Berkeley. They were very
11 important in our early work when we were scoping out the
12 project, and also in doing some of the conceptual work for
13 the interactive brochure.

14 And I'd also like to acknowledge the other
15 Biomonitoring California staff and managers who have been
16 extremely valuable in terms of giving us feedback on what
17 we're working on, and also ideas for new content and new
18 approaches to bringing this site to life, and acknowledge
19 the Centers for Disease Control for some of the funding.

20 So now on with the show. Okay. So, here we are
21 on our homepage. As you can see, at the top of the page,
22 so there are images that rotate through in a sequence.
23 And each one is designed to highlight different content on
24 the site. And by clicking on the image, you'll be taken
25 directly to that content.

1 Before I do that, I'd like to show you a couple
2 other things here on the homepage. The content on the
3 site is organized through these -- sorry, I'm having a
4 little trouble with my mouse -- is organized through these
5 tabs. And when you scroll over the tab, there's pulldown
6 menus that show the information. And pretty much all of
7 these different tabs have information, so that you can
8 easily get to content on the site.

9 If you scroll down the site, there's also
10 navigational information on the right-hand side. And then
11 in the center of the slide -- center of the site, there's
12 a description of the Program, a video about the Program, a
13 basic introduction to what biomonitoring is, and then some
14 of the information that you're used to seeing, "What's
15 new".

16 So, one point I'd like to emphasize is that we've
17 built the site with the idea of having room to grow. And
18 we're adding new content, and are in the process of
19 developing more. For example, we're planning to create
20 resources for specific groups, such as participants,
21 parents, and workers.

22 Now, this is the way you go to the interactive
23 brochure, also now the biomonitoring guide. And as you
24 can see, there's several different chapters, and each
25 chapter has subchapters. And this is meant to be a way

1 for people to easily get into the content on the site.
2 This one just a basic introduction here, there's a link to
3 get to the video that was on the homepage. And this would
4 be a way you could get to information about one of our
5 projects. This is about the Guidance Panel. Here's a
6 video of the Panel in action.

7 (Laughter.)

8 MS. DUNN: It's very dynamic.

9 (Laughter.)

10 MS. DUNN: It's a real nail-biter.

11 (Laughter.)

12 MS. DUNN:

13 PANEL MEMBER WILSON: Hey, easy.

14 (Laughter.)

15 MS. DUNN: And then this is a link to get to
16 information about the meetings. So basically, this is a
17 way for people to just start to dig more deeply into the
18 site, but hopefully in a way that's easy to understand.

19 Another example of a chapter, what happens when
20 someone is asked to be in a project. So there's just a
21 description so people can be oriented. Some of these
22 don't have links, but one of the nice things -- so the
23 site is being developed in Drupal, which is a content
24 management system. So that allows staff, as we go
25 forward, to easily add information and make changes and

1 add additional links. And here, for example,
2 biomonitoring test results. This is just an example
3 graph, but in the future, this can be a place where people
4 can get at results information. So we have in mind to
5 make it as easy as possible for people to access the
6 content on the site.

7 Just to give you an example of some of the
8 content that -- you know, everything that's on the current
9 site is being brought over, but here's an example of
10 where -- it will just take a minute.

11 The content on the existing site has been jazzed
12 up with some photographs. This is from our last Guidance
13 Panel meeting.

14 So some of the new content on the site are these
15 pages about the projects. So there's an archive of all
16 the projects that we've -- that the Program has been
17 involved in, both those that are completed and those that
18 are ongoing. And each one has a description and a brief
19 summary of it, and an image, so that you can navigate by
20 images, if you're so inclined.

21 And then if you click on that title, you'll get
22 to a page that's about the project. It gives you a bigger
23 description. It gives you some specific information about
24 each project, for example, who the participants are, when
25 the samples were directed, and from where. And there's

1 these maps that pop up.

2 And then, as you scroll further down, here's the
3 chemicals that are being measured in this project. And
4 Laurel will be telling you more about the chemicals
5 content.

6 Just to give you a different example, so some of
7 the projects are laboratory collaborations. And you'll
8 see the same kind of, you know, basic description. And
9 this is just the project that we're involved in, and again
10 the map. In this case, just the one chemical, set of
11 chemicals -- group of chemicals being measured. And then
12 there's additional information.

13 So this is the kind of field where we're bringing
14 in, for example, the information that is presented at
15 these meetings, that's otherwise very difficult to find,
16 will be organized in this way directly under the project
17 that it's relevant to, so that people can easily get at
18 that kind of content.

19 And just also finally wanted to show you, this is
20 just a basic page that gives all of our meetings past and
21 present. But I thought it's pretty impressive for those
22 of you who've, you know, been following the Program all
23 these Panel meetings that we've been carrying out since
24 the beginning of the Program, and they're all here.

25 So with that, I'd like to turn it over to Laurel.

1 DR. PLUMMER: It's so great to see it big like
2 that.

3 (Laughter.)

4 DR. PLUMMER: So I joined the project really
5 recently working on the website, but I've -- I'm a
6 toxicologist, so I've gotten really interested in working
7 on the chemical section. And it worked out really well
8 for me to scoot in and help on that part.

9 So one of our goals for this website, I think, as
10 Amy has kind of alluded to, is that we want to appeal to
11 broad audiences. And I think some of the information we
12 have we want to make sure that, you know, the public,
13 participants, but also scientists and researchers find our
14 site useful. And chemicals is one of the locations where
15 we feel this is really important.

16 So under the chemicals tab here, you see we also
17 have a dropdown menu, similar to the other tabs. We have
18 five main sections of our chemical information. On the
19 left side here, we have a page about our general chemical
20 selection process. And then two pages here that go into a
21 little more detail about what a designated chemical is,
22 what a priority chemical is, and then kind of the last
23 step in chemical selection, which is chemicals actually
24 being biomonitored.

25 So to start off, I'm just going to show you our

1 chemical selection page. We've repackaged a lot of
2 information that's contained in our data summary reports,
3 legislative reports, and tried to make it into an
4 understandable narrative here with incorporating links to
5 other pages, so people can navigate around really easily.
6 And we've also worked on developing a diagram to give
7 people a general idea of, you know, how the SGP plays a
8 role. I know the font is probably small for people to
9 read. But it basically outlines the different stages that
10 chemicals can move through before they're ultimately
11 chosen for biomonitoring, if that's the ultimate endpoint.

12 And so this diagram appears also on the
13 designated page, priority page, with those different
14 sections highlighted to help people understand our
15 process, because it's kind of complicated. So I won't go
16 onto these pages. It's, like I said, similar with the
17 diagram and some narrative.

18 And then we worked on highlighting the chemicals
19 that we measure in our studies. So here's a page again
20 with some narrative talking about how chemicals ultimately
21 become biomonitored in our studies. For example, we have
22 a link to the Scientific Guidance Panel here. You know,
23 if you click here, it will go to the page about the
24 Guidance Panel and explain what that is.

25 And then if you scroll down here's a list of

1 chemicals currently being measured in our studies.

2 There's quite a few, and some of these, as you know, are
3 like groups of chemicals for PFCs, and then others are
4 just chemicals highlighted on their own.

5 And so I'm just going to click here on bisphenol
6 A. And so this is a chemical page that it represents the
7 type of content that will be on all of the chemical pages
8 listed on the Biomonitoring California or chemicals being
9 biomonitored page that we were just on.

10 So I'll just point out some main features. So
11 obvious, the chemical name. We include for -- when
12 appropriate, we include a structure or an representative
13 structure. We indicate the status. These are links, so
14 you can go to those designated and priority pages, and
15 then back to the list of chemicals being biomonitored
16 here. A little one-liner description.

17 Here, we have a really great feature where you
18 can actually click to expand. And these are the fact
19 sheets or, in some cases, updated versions of ones that
20 were sent to our participants. And you can also click
21 here to download a PDF.

22 And then we have a section on Biomonitoring
23 California information. This, right here, is where we
24 plan to post the results. And we're working again with
25 Uli on developing the best structure for that. We have a

1 list of projects measuring the chemical, documents,
2 presentations, and publications, a link to the meeting
3 where the chemical was discussed, a search function for
4 the entire Biomonitoring California website for
5 information on the chemical, and then a section for
6 external biomonitoring links, which, in this case,
7 includes a link to the National Biomonitoring Program
8 carried out by CDC and also some links for the Minnesota
9 Department of Health.

10 And so -- okay. So the next page I'm going to
11 show you really briefly is one that is still under
12 development, but this will give you a general idea. For
13 the researchers and scientists, we really wanted to have
14 this chemical index, so they could easily quickly find
15 chemicals of interest. And we are allowing -- we've
16 created three different ways to search.

17 So you can search by chemical name, CAS number or
18 keyword under here, if you know exactly what you're
19 looking for. You can scroll by chemical -- and pick by
20 chemical name, and this will expand as our pages become
21 more and more populated with information, or you can
22 choose by keyword as well.

23 So the last thing I'll just highlight is on the
24 chemical pages, we have some quick links -- quick chemical
25 links here on the right, where you can get to the

1 chemicals being biomonitored in California page. Another
2 link to the chemical index, and then the standard links
3 that many people are probably used to visiting, the
4 designated list, and the priority chemical list, which you
5 can also get to from the designated and priority chemical
6 pages. So there's lots of different ways to move around
7 the site, as Amy mentioned.

8 And here we also have another chemical link here.
9 So we hope people can find the information they're looking
10 for easily.

11 So that's it for me. Amy, did you want to wrap
12 it up?

13 MS. DUNN: Yeah. So, as I mentioned, we're
14 planning to do some testing. And in addition to any
15 comments you have on the website, we would love to have
16 any thoughts that you have about how we might reach out to
17 do that testing and to get people interested in coming to
18 our new site when we launch it. So that's it.

19 CHAIRPERSON LUDERER: Great. Thank you very much
20 Amy and Laurel. That was great.

21 (Applause.)

22 CHAIRPERSON LUDERER: Very exciting to see the
23 new website and all the features. And I'm sure you want
24 to hear feedback from the Panel. We have time for
25 questions and discussion from Panel members.

1 Dr. Quint.

2 PANEL MEMBER QUINT: It looks great. This is
3 Julia Quint. I just have a question. I'm forever looking
4 for your tox summaries that you do for the meeting. And
5 it wasn't clear to me on the chemical page that I could
6 pull up some of the, you know, information that you guys
7 prepare for our meetings. I mean, I'm forever referring
8 people to those sometimes and looking for them. So was
9 that there and I just didn't get it.

10 DR. PLUMMER: Amy could probably answer this too,
11 but -- so we did have a section -- we will have a section
12 on each chemical page that's publications, documents, but
13 you'll also be able to access it through the meetings
14 pages. So the same way you do currently.

15 PANEL MEMBER QUINT: Yeah. Sometimes I have -- I
16 find it cumbersome.

17 MS. HOOVER: Laurel, can I comment

18 DR. PLUMMER: Sure.

19 MS. HOOVER: The reason you didn't notice it is
20 because the example we used was BPA. We don't have a
21 document on BPA. That's why it didn't pop. So on another
22 page it will be clear. So, yeah, it will go chemical by
23 chemical.

24 PANEL MEMBER QUINT: And you -- so -- but you
25 won't have a link to other information in -- if OEHHA has

1 other information on a particular chemical that also
2 happens to be biomonitored, because you guys --

3 MS. HOOVER: You know we actually spent a lot of
4 time on that. We had -- originally, we had a section on
5 the website where we were going to highlight links from
6 the three departments OEHHA, DPH, DTSC. It turned out to
7 be very complicated to -- well, there's a few issues. One
8 of the issues is to pick out which documents, you know, to
9 pull over. So, Laurel, actually spent an enormous amount
10 of time doing that.

11 But then it would be a constant issue of
12 updating. You know, changing links and adding to them.
13 So we haven't abandoned that idea of adding more links
14 from some of our relevant documents and so forth. We just
15 haven't figured out a really great way to design that.
16 And, Laurel, did you want to add anything?

17 DR. PLUMMER: I mean, my biggest problem with how
18 we had it was just it didn't look -- I didn't think it
19 looked that good, because the names of a lot of the
20 scientific documents are quite long. And so we're just
21 kind of working with Uli and trying to figure out a more
22 visually appealing way, because the -- we wanted the
23 information on there to be really strong and clear, and we
24 didn't want to dilute it, but I agree that it's important
25 to have that.

1 PANEL MEMBER QUINT: And I was just thinking
2 within OEHHA, not necessarily the other departments, but I
3 guess that's because I'm always on their looking for
4 information.

5 MS. HOOVER: Well, I mean, we did find -- you
6 know, we actually worked with Michael DiBartolomeis to
7 identify some relevant DPH links. It is -- I mean, the
8 chemical-specific content is largely -- would largely be
9 OEHHA. So, like I said, we haven't abandoned that right
10 at the moment. So in other words, we thought about -- we
11 had our external links focused on biomonitoring -- you
12 know, external biomonitoring links. We could have, you
13 know, other relevant links or something at the bottom of
14 the page, so we're still debating how to handle that.

15 PANEL MEMBER QUINT: I have one just other
16 question sort of related. You know, part of the mandate
17 is to monitor whether or not different regulations are
18 being are effective or, however, you know, the banning of
19 the certain flame retardants. And I'm wondering if
20 there's going to be someday for us -- for people to get
21 information on -- we're biomonitoring for this chemical
22 but we've also had this initiative -- I mean, you know,
23 some policy that has -- like Perc is going to be banned in
24 dry cleaning. So I'm wondering if there's someday to
25 connect the dots here.

1 So, you know, so that people can see -- you know,
2 so you can -- so, you know, as somebody said that you know
3 levels are going down because we did a certain -- took a
4 certain action. So I guess is there some simple way to
5 tie those two things together?

6 MS. DUNN: I think what you're raising is a
7 really important point that hasn't been on our radar, but
8 that is to bring that kind of policy information, at least
9 links, to it into a section on the site. I don't think
10 currently -- we haven't really been doing that. But I
11 mean I think for the ones where it's straightforward to do
12 that, like flame retardants.

13 MS. HOOVER: Yeah. No, I would say it definitely
14 has been on our radar. And one of the things was like
15 choosing where to link, you know, green chemistry, for
16 example, figuring out how to do that.

17 For flame retardants, that's a good example, we
18 are planning -- so, for example, for PBDEs when we
19 describe PBDEs, we talk about like the regulatory status
20 of PBDEs, but we're planning on adding a comment about the
21 new BFRs and the new PFRs, right? So that would be on the
22 PBDE page, so that people would start to -- because we
23 don't want to leave it -- you know, the problem -- I mean,
24 you're right, if you just do it chemical by chemical and
25 very flat, then people don't figure out, well, okay, gee

1 PBDEs are done, so all is well. No, all is it not well,
2 you know, go over here.

3 So I think we have that vision. Like Amy said,
4 we haven't worked it out, and there's complications in
5 what to link to and where to go to.

6 MS. DUNN: I guess the thing that I meant when I
7 said we haven't had it on our radar in the sense of a
8 place on the site to start pointing to where regulation is
9 going in relation to the chemicals, instead of going
10 chemical by chemical to actually bring in that piece of
11 the Program's mandate.

12 MS. HOOVER: So you mean like an actual
13 regulations page, like in resources or something maybe
14 like that.

15 MS. DUNN: Yeah.

16 MS. HOOVER: No, that's true. I was thinking
17 more on a chemical by chemical basis. I mean, Amy and I
18 had quite a long -- a lot of discussions about green
19 chemistry and how to handle that, and how to bring that
20 in. So it's an ongoing discussion, but we'll keep that in
21 mind.

22 PANEL MEMBER QUINT: Okay.

23 CHAIRPERSON LUDERER: Dr. Wilson.

24 PANEL MEMBER WILSON: Yeah. Thanks.

25 I had two questions. And the first one is really

1 a follow on to Dr. Quint's. I had the same impression
2 that it might be interesting on the site, under the
3 resources section, to have something that steers people to
4 the other California chemical management programs, you
5 know, or some other phrase, and that where -- and rather
6 than sort of just a list of all these programs like the
7 Cosmetics Program, you know, and the Safer Consumer
8 Products, and Cal/OSHA, PELs. And rather than just a
9 list, it would take them to a place that says, you know,
10 workplace, you know, personal care products, you know,
11 consumer products and what are the State programs that are
12 relevant to those topics areas, and just link out to those
13 things. So that was one thought.

14 And then the other was I was just curious about
15 the -- you know, you mentioned you wanting to have a
16 section that makes it relevant in a way or accessible to
17 workers. And so I'm just curious if that's up now, or if
18 it's, you know -- so if we were to steer our people from
19 this steel workers, you know, to this page, is there
20 something that they can go to and said, oh, this is how it
21 relates to my work environment?

22 MS. DUNN: Well, the short answer is no, it's not
23 currently on the site. But one of the challenges that
24 we're facing in getting the site ready to launch is when
25 is it good enough to launch? And I think our current

1 strategy is to get it -- make it public as soon as it's
2 really, and then we're -- we have -- that is -- we have
3 funding to work on that kind of -- those kind of
4 resources. And we're planning to develop those in the
5 next year or so. You know, as we go forward, we have that
6 in our sites to start creating pages that will be relevant
7 to people like you're mentioning. Yeah.

8 PANEL MEMBER WILSON: Yeah. Just I completely
9 support that approach, you know, because, yeah, you could
10 work on it for the next couple of years and not get it up.
11 And it's beautiful. It's really, just visually, and the
12 way it operates, it's really a nice -- really nice work
13 product. So, yeah, I would support that moving it to
14 launch sooner than waiting.

15 MS. DUNN: Thank you.

16 CHAIRPERSON LUDERER: Any other comments or
17 questions from Panel members?

18 Dr. Kavanaugh-Lynch

19 PANEL MEMBER KAVANAUGH-LYNCH: Mel
20 Kavanaugh-Lynch. I don't know if this is -- if you're
21 allowed to do this or whether it's advisable to do this,
22 but I'm aware of some fact sheets and things that are very
23 consumer friendly that are done by other organizations.
24 For instance, I know in the breast cancer world, Breast
25 Cancer Fund has done some great publications and some --

1 and has a great website. Some of the NIEHS funded Breast
2 Cancer and the Environment Research Programs have some
3 good fact sheets that are made for consumers. Can you
4 link to those? Is that okay to link to non-State things
5 or --

6 DR. PLUMMER: Yeah, I mean, as far as I know it's
7 okay. I think in developing our fact sheets, we've used
8 those fact sheets from Breast Cancer Fund and NIEHS, and
9 DPR, and an abundance of other fact sheets to create the
10 ones that we have posted. So that's definitely an idea we
11 can take into consideration.

12 MS. HOOVER: Just to add to what Laurel said, we
13 didn't just take it into account. We actually have links.
14 We have "For More Information", so we actually spent a
15 huge amount of time in the fact sheet development, where
16 we would search for all available fact sheets. We would
17 read them, and see if we thought they were reasonable.
18 And we would link to them, given certain criteria.

19 So we have done that. There's definitely going
20 to be more out there. So if when the site goes live and,
21 you know, you may notice, oh, what about this one? That
22 would be helpful actually. So we've tried to do that,
23 and, you know, we are constrained to some degree in terms
24 of which ones to link to, because we don't want to imply
25 an endorsement. So we link to certain things where we

1 feel comfortable linking to.

2 Even some of them that we're linked to, they may
3 be a little out of date. We may not entirely agree with
4 what's there, but we feel comfortable like linking to a
5 CDC fact sheet, or an ATSDR fact sheet, and, you know,
6 just representing it as coming from them.

7 PANEL MEMBER KAVANAUGH-LYNCH: Great.

8 CHAIRPERSON LUDERER: I just had a follow up
9 actually to Dr. Quint's comment about the linking to
10 OEHHA other OEHHA documents about particular chemicals.
11 And I was wondering with it might be possible on that
12 chemical search page to have an option of like search the
13 OEHHA site for information -- additional information about
14 this chemical or something. You know, just trying to
15 think about ways you could do that.

16 DR. PLUMMER: Definitely, yeah. We had that for
17 a while. And the problem we ran into was that I think
18 that option will be a lot better when the OEHHA site
19 undergoes its --

20 (Laughter.)

21 DR. PLUMMER: -- inevitable migration. Just
22 because there's --

23 (Laughter.)

24 DR. PLUMMER: One of the things that we found is
25 that, you know, random public comments was like the first

1 thing that would pop up. And so, you know, and that's
2 just a function of how things, you know, are entered. And
3 it's a challenging thing, but that's how I found, you
4 know, the few that -- like a few OEHHA documents that I
5 wanted to highlight, but I was a little more stringent in
6 that decision process.

7 MS. HOOVER: So just one thing to add to that.
8 Yeah, we had this wide open search, which definitely
9 didn't work, but then Uli said that we can actually put
10 filter properties on that. So we're -- that's something
11 we'll play with to see if we can actually create a search
12 that filters it, and actually gives us more of the results
13 we want.

14 And then that would be actually a great way to
15 link to OEHHA documents, because then we don't have to
16 update it. It will just give a current search. So we're
17 going to try that, but that's like an under construction
18 thing.

19 CHAIRPERSON LUDERER: Great.

20 All right. Do we have any public comments for
21 this presentation?

22 No.

23 All right. Well, yes we are -- we're actually
24 ahead of time.

25 (Laughter.)

1 (Applause.)

2 MS DUNN: I guess I was wondering if the Panel
3 might have any suggestions about when the site is live, if
4 you have people that we would send the announcement to or
5 people who you might want to connect us with to -- that
6 you think we would want to test the site with, for
7 example, who we might want to -- you know, who we might
8 otherwise not have contact with.

9 I mean, you don't have to give them to me now
10 verbally, but if you could -- actually, this goes for
11 anyone in the room, and there's the sheet at the back
12 that's pink, and you could just check the box that says
13 contact me to connect you with some other people. So that
14 would be really helpful.

15 CHAIRPERSON LUDERER: Just one question. Are you
16 planning on contacting some of the -- there are different
17 community groups that have come to Scientific Guidance
18 Panel meetings that are interested in the Program. Are
19 you going -- I mean, that might be a good resource to go
20 back and contact them, and ask them for their input.

21 MS. DUNN: Yeah. That is a good idea. I mean,
22 mostly those people are on our listserv, so we'll be
23 reaching out that way. And I just want to mention, so I
24 showed you this postcard, and I believe Dr. McKone handed
25 these out. And we handed -- at one of the meetings he

1 attended, and we handed these out at a bunch of meetings
2 in the latter part of 2012. And we do have some people
3 who've signed up as interested, but, you know, it's -- you
4 know, it's one of those things where if you only test the
5 site with the people you already know, you're not really
6 getting a full view.

7 CHAIRPERSON LUDERER: Dr. Quint.

8 PANEL MEMBER QUINT: Yeah, just briefly. You
9 know, there are programs like the Environmental Health
10 Tracking Program who have stakeholders who have lots of
11 connections to community groups and things like that. So
12 I think, you know, just within CDPH, and the Occupational
13 Health Branch has a contacts database, and -- you know, of
14 different types of organizations and groups. And so I
15 think you might be able to extend it out a lot, if you
16 just check in with some of those programs, but I'll fill
17 out one of the forms.

18 MS. DUNN: Thanks. Those are great suggestions.
19 Thank you.

20 CHAIRPERSON LUDERER: Any other suggestions?

21 Okay. Sara, did you have --

22 MS. HOOVER: No, no, no. I was just going to
23 help with the next presentation.

24 CHAIRPERSON LUDERER: Okay. All right. Thank
25 you again for that presentation, and for all the wonderful

1 work on the website.

2 (Thereupon an overhead presentation was
3 presented as follows.)

4 CHAIRPERSON LUDERER: So our next agenda item is
5 a discussion of three classes of chemicals as potential
6 priority chemicals. And Dr. Gail Krowech, Staff
7 Toxicologist at OEHHA, will be presenting this talk.

8 Dr. Krowech.

9 DR. KROWECH: Good afternoon. So the purpose of
10 this agenda item is to have the Panel consider three
11 classes of chemicals as priority chemicals,
12 non-halogenated aromatic phosphates, p,p'-bisphenols, and
13 diglycidyl ethers of p,p'-bisphenols. And the second
14 purpose is to get Panel input on future candidates for
15 consideration as potential priority chemicals.

16 I want to just first remind the Panel of the
17 criteria for recommending priority chemicals. They are:
18 The degree of potential exposure, the likelihood of a
19 chemical being a carcinogen or toxicant, the limit of
20 laboratory detection, other criteria that the Panel may
21 agree to. These criteria are not joined by ands and the
22 Panel is not required to specify other criteria.

23 To give some background on screening and
24 designation of non-halogenated aromatic phosphates, in
25 March of 2011, we brought a screen of non-halogenated

1 phosphates to the Panel. The Panel was most interested in
2 the aromatic phosphates and asked us to produce a document
3 on them, which is what we did. In March 2012, the Panel
4 recommended adding non-halogenated aromatic phosphates to
5 the designated chemicals list.

6 With this slide, I'm just reminding you of the
7 document that we produced on non-halogenated aromatic
8 phosphates, and this contained information on
9 potential for exposure, known or suspected health effects,
10 and the potential to biomonitor.

11 In preparation for this meeting, we sent the
12 Panel a table that was specifically focused on the
13 potential for biomonitoring the aromatic phosphates. This
14 is a summary of that table. And this table contains eight
15 non-halogenated aromatic phosphates that were highlighted
16 in our March 2012 document. All of these chemicals are
17 either flame retardants or plasticizers or both, and all
18 of them are high production value chemicals.

19 Two are substitutes for decaBDE in plastic
20 housings for electronic equipment. That's bisphenol A
21 bis(diphenyl phosphate), and resorcinol bis(diphenyl
22 phosphate).

23 Several are used in polyurethane foam that we
24 heard about this morning, and two are components of
25 Firemaster 550. They are the isopropylated triphenyl

1 phosphate, which is a group of isomers, and triphenyl
2 phosphate which is the bottom one on this list.

3 A few have been found in biomonitoring studies.
4 Most of the studies have been with triphenyl phosphate.
5 And the triphenyl phosphate metabolite diphenyl phosphate
6 has been found in urine. And methods are currently under
7 consideration.

8 With this page -- slide, I just wanted to update
9 the Panel on new findings since March 2012, since these
10 findings, this study, was from California. And Heather
11 Stapleton actually talked about it this morning as well.
12 Dodson et al. sampled dust from 16 California homes in
13 2006 and 2011. They measured three non-halogenated
14 aromatic phosphates among the many flame retardants and
15 some legacy pollutants that were measured. The three that
16 were non-halogenated aromatic phosphates that were
17 measured were 2-ethylhexyl diphenyl phosphate, tricresyl
18 phosphate, and triphenyl phosphate.

19 All three were found in a hundred percent of the
20 samples in both years. And the median levels of triphenyl
21 phosphate were among the highest of all the flame
22 retardants that were measured in this study.

23 I also want to report back from the symposium on
24 flame retardants this past week in San Francisco, and to
25 let you know that research on phosphate flame retardants

1 is pretty strong. It's a -- there's a lot of interest in
2 it.

3 Two interesting studies, I thought I'd share.
4 One, the two deca replacements, that I just mentioned on
5 the previous slide, have both been reported to be found in
6 house dust now. And another interesting finding is that
7 triphenyl phosphate and one of the isomers of
8 isopropylated triphenyl phosphate were found -- were
9 reported to cause cardiac abnormalities in zebrafish
10 embryos.

11 There were a couple of biomonitoring studies as
12 well, and the same -- the same aromatic phosphates were
13 being looked for and found.

14 So I'll stop here for any clarifying questions on
15 the non-halogenated aromatic phosphates.

16 CHAIRPERSON LUDERER: Any clarifying questions
17 from Panel members?

18 DR. KROWECH: Okay. This slide shows example
19 structures of p,p'-bisphenols and diglycidyl ethers of
20 p,p'-bisphenols.

21 In March 2012, we presented a preliminary
22 screening table on bisphenol A substitutes and
23 structurally related compounds. And an interim update on
24 additional screening of BPA substitutes and related
25 compounds was presented in July 2012. The Panel

1 recommended adding p,p'-bisphenols and diglycidyl ethers
2 of p,p'-bisphenols to the designated list in November
3 2012.

4 And again, this is just a reminder of the
5 document that was produced, which contained information on
6 potential for exposure, known or suspected health effects,
7 and potential to biomonitor.

8 And this table focuses on the potential to
9 biomonitor. All of these p,p'-bisphenols were highlighted
10 in the document. A couple have been found in
11 biomonitoring studies. And as Jianwen talked about
12 earlier, a method is under development to measure several
13 of them. And that method can be expanded to include more
14 compounds.

15 And here is the same table -- a similar table for
16 the diglycidyl ethers of p,p'-bisphenols. And the two
17 highlighted chemicals BADGE and BFDGE were highlighted in
18 the document, both are used to make epoxy resins. The
19 method that's under development currently at EHL also
20 includes a method to measure BADGE in urine. And most
21 recently -- recently, BADGE has been found in urine that
22 Jianwen also mentioned this morning.

23 And here's a little bit more on that study
24 published in late 2012. Wang et al. measured BADGE and
25 three derivatives that are specified on the bottom, in

1 dust and urine. These derivatives are formed inside food
2 cans and potentially in the environment. And together,
3 referred to here as BADGEs, were found in a hundred
4 percent of the indoor dust samples and a hundred percent
5 of the urine samples.

6 And this table shows a comparison of the levels
7 in urine in New York -- from adults in New York and the
8 sample in China. You can see that the total BADGE level
9 is higher in New York. And then the bottom row for
10 comparison, levels of BPA in adults, the most recent
11 NHANES levels, again BADGEs and, you know, including
12 derivatives are higher than BPA.

13 And I'll stop here for clarifying questions.

14 CHAIRPERSON LUDERER: Dr. Bradman and then Dr.
15 Wilson.

16 PANEL MEMBER BRADMAN: I have questions here
17 about the laboratory methods. And this also applies to
18 the previous set too as the same basic question.

19 For the bisphenols, is that -- would that --
20 would those analytes come out in the same analysis with
21 the bisphenol A or would they be separate analyses for
22 either the BADGE compounds or the p,p'-bisphenols? And
23 then similar for the non-halogenated aromatic phosphates,
24 it says method development is currently under
25 consideration is -- again, are these measured as a group

1 and with other compounds or are they independently tested?

2 DR. SHE: Eventually we should -- we like to
3 combine the groups. We'd like to combine the two methods
4 as a group. But, at this moment, because laboratory
5 testing, we already finish the environmental phenols as a
6 searching chemical in that group. We already have a
7 method.

8 With this new chemical, this BADGE and the other
9 ones, right now we test it on the instrument -- different
10 instrument. And also we need to test the sample clean up
11 to make sure we can get the analyte enriched from urine.
12 So right now is two separate methods.

13 The second method covers BADGE and the other
14 environmental phenols. If we're able to, in the future,
15 able to combine them together, we would like to do that.

16 And another thing that, you know, all of this
17 BADGE New York measured, they do not have the -- standard.
18 usually, BPA has a standard. So with the current method,
19 we also included BPA. So BPA is currently in both of the
20 methods because of standard issue.

21 PANEL MEMBER BRADMAN: Okay. Thank you.

22 CHAIRPERSON LUDERER: Dr. Wilson and then Dr.
23 Quint. Dr. Quint and then Dr. Wilson.

24 PANEL MEMBER WILSON: That answered my question
25 actually. Thanks.

1 PANEL MEMBER QUINT: And you may have answered
2 mine as well. This is Julia Quint. I really like the
3 Wang paper, because of all the -- measured a lot of the
4 derivatives. And there was a lot of information
5 potentially that could lead to, you know, how these
6 levels -- the different levels and different people, the
7 age, gender, et cetera.

8 So the question is whether or not we would be
9 able to measure the different derivatives, free and
10 conjugated, and, you know, have the richness of the data
11 that Wang presented?

12 DR. SHE: Yes. We look at that method like we
13 noticed it very challenging. Their limit of detection is
14 20 ppt. And based on our own experience you required, you
15 have very clean environment.

16 So, at this moment, we don't how they did it,
17 because they also found in the background for the BADGE.
18 So the BADGE have a different form. BADGE plus two
19 others, BADGE Plus one other on the hydroxy chloride.

20 So right now, we are testing them. Especially
21 within this group, BADGE is the highest level that are
22 identified. We have some technical issue we're still
23 working on. We cannot find BADGE. We find other
24 chemicals in our method. We have a BADGE standard, but we
25 run on the instrument, the molecular peak don't show up.

1 Interesting it shows the molecular plus sodium,
2 molecular peak of BADGE plus calcium and potassium. So
3 that's some issue we're still working on.

4 And our long goal, we already purchase over 50 of
5 these group of chemicals. So once we work out these five
6 or six, we will broaden it to cover more, so you can see
7 the different data, free ones. Can you get to the ones
8 or conjugated ones. I hope that we can reach that goal.

9 PANEL MEMBER QUINT: Yeah.

10 CHAIRPERSON LUDERER: Dr. Wilson.

11 PANEL MEMBER WILSON: I have a question about
12 the -- first, actually about the set of the
13 non-halogenated aromatic phosphates. Is that -- is the
14 set of potential priority chemicals in that group
15 non-halogenated aromatic phosphates, is that a subset of
16 the substances that were reported by Dodson et al. in
17 their study of 16 homes.

18 DR. KROWECH: They looked at three of those
19 chemicals, so, yes.

20 PANEL MEMBER WILSON: Okay.

21 DR. KROWECH: Yeah. So it is a subset and
22 triphenyl phosphate is listed underneath the two
23 brominated flame retardants from Firemaster 550. So it's
24 not exactly clear that that's a non-halogenated aromatic
25 phosphate, just -- I can show you where it is.

1 PANEL MEMBER WILSON: Okay. Thank you. I'm just
2 trying to get oriented with what you did here with the
3 paper. Okay. Thanks.

4 MS. HOOVER: Dr. Wilson, question.

5 DR. WILSON: Yes.

6 MS. HOOVER: Did you -- are you wondering about
7 the other phosphates, like the chlorinated phosphates, or
8 were you -- I just want to make sure we're answering your
9 question, because there are -- they did other phosphates,
10 right, they were halogenated?

11 DR. KROWECH: They did a lot of other phosphates.

12 PANEL MEMBER WILSON: That's where I'm confused.

13 MS. HOOVER: So we don't have -- so on our list,
14 we don't have phosphate flame retardants. We have
15 brominated and chlorinated flame retardants, which
16 includes a bunch of phosphates in there. And then we have
17 a separate group called non-halogenated aromatic
18 phosphates. Now, with our new approach on our designated
19 list, we actually could add another, you know, category
20 called phosphate flame retardants. And have, you know,
21 all of them, including, whether they're halogenated or
22 not, listed in one box. So anyway if that's your
23 question.

24 PANEL MEMBER WILSON: Well, it's -- yes. I mean,
25 I guess when I see the paper that -- the Dodson paper,

1 they sampled for a lot of different kinds of, you know,
2 basically alternatives or regrettable substitutions, I
3 might say, for the -- following the PBDE phase out. And
4 so -- and some of those are phosphates.

5 And I -- unless I'm reading it wrong, there are
6 about 10 or 12 or something of the phosphates that
7 they've -- that they sampled for. And then they have a
8 whole set of other things. And so --

9 MS. HOOVER: But I think, Gail, don't those
10 include both halogenated and non-halogenated phosphates?

11 DR. KROWECH: Right, but the section where they
12 do the phosphates doesn't include triphenyl phosphate.
13 They kind of have it in a different spot, because they
14 have it with the Firemaster 550 brominated chemicals, so
15 you're probably missing that. And that actually, you
16 know, is at pretty high levels.

17 PANEL MEMBER WILSON: Right.

18 DR. KROWECH: The other two, tricresyl phosphate
19 and 2-ethylhexyl diphenyl phosphate are with the
20 phosphates.

21 PANEL MEMBER WILSON: Right. Okay. Thank you.

22 CHAIRPERSON LUDERER: And then I think we also
23 said this morning that -- and on the designated chemicals
24 list, the non-halogenated aromatic phosphates includes
25 that whole class, even ones that are not explicitly listed

1 as far as the designated chemicals. And so if we --

2 DR. KROWECH: Yes.

3 CHAIRPERSON LUDERER: -- were to recommend moving
4 those to the priority list, it would be the whole class,
5 correct?

6 DR. KROWECH: Yes, right.

7 CHAIRPERSON LUDERER: Dr. Quint.

8 PANEL MEMBER QUINT: Julia Quint.

9 When Heather was presenting, she mentioned
10 several times not on your list. And I was not keeping
11 track, because I didn't have the color, so I didn't know
12 which ones she was referring to necessarily. So my
13 question is simply are we capturing the ones that she
14 thinks are important or the ones that she's studying?

15 DR. KROWECH: Well, so there were two slides that
16 she thought were not on our list. One I think was a
17 question of nomenclature. And so that is actually
18 included in tricresyl phosphate, the meta and the para,
19 which is interesting that it's being found in foam,
20 because there isn't anything in the literature saying that
21 it is. And so that was really interesting, because it is
22 one of the ones that is being found in dust. It's found
23 in breast milk. So that was really interesting.

24 The other one was the (tert-butyl) phenyl
25 diphenyl phosphate. And she showed a few isomers. So on

1 our list we just used one example of that. So even if we
2 hadn't, it still would be included, but we picked a
3 representative sample for that.

4 PANEL MEMBER QUINT: Sure. Thanks.

5 CHAIRPERSON LUDERER: Any other questions from
6 Panel members before we move to public comments?

7 CHAIRPERSON LUDERER: Dr. Cranor.

8 PANEL MEMBER CRANOR: I'm sure you do this, but
9 I'll ask, just in case. Do you coordinate with CDC, so
10 that you're doing something in addition to what they're
11 doing, so as it were the resources in the country are
12 going further rather than duplicating what they do?

13 DR. KROWECH: Well, I think that's been one thing
14 that's been very important for the Panel, to do -- to look
15 for emerging chemicals, and to not necessarily just
16 repeat. So we don't -- CDC is not looking for these.

17 PANEL MEMBER CRANOR: Good.

18 DR. KROWECH: But we are aware that NTP has been
19 studying some of these, so there will be some more
20 toxicology data on them.

21 CHAIRPERSON LUDERER: All right. Do we have some
22 public comments?

23 All right. Do we have some public comments?

24 So we have two public comments. The first one is
25 from Nancy Buermeyer from the Breast Cancer Fund.

1 MS. BUERMEYER: It's going to be a short comment.
2 Nancy Buermeyer the Breast Cancer Fund.

3 I actually spoke about this last time when you
4 talked about making these priority chemicals. And that is
5 our particular interest in replacement for BPA. We've run
6 a long campaign to educate the community and the public
7 about the dangers of BPA. And we get a lot of questions
8 about, well, if they don't use BPA, what do they use?

9 And that has proven to be a very, very difficult
10 question to answer, as I know Sara has found out from
11 trying to beat the information out of the FDA.

12 So knowing the exposure pieces would be really,
13 really helpful. Knowing sort of what are the exposures to
14 people to some of these different compounds, it might help
15 us to better understand what they are using. And as
16 happened with BPA, I think the more you know about the
17 exposures, the more interest you get from the academic and
18 toxicology world, in general, to start looking at the
19 possible health implications of these compounds.

20 And, you know, ultimate the goal is to have
21 chemical policy management systems in place that require
22 you to test everything before it goes into people's canned
23 foods and the like. But we're not there today, so this is
24 sort of a stop-gap measure to try to bring some attention
25 to this and see if we can find out more information about

1 what these chemicals are really doing to people.

2 So we don't do a whole lot on flame retardants,
3 although, recognize that they're evil.

4 (Laughter.)

5 MS. BUERMEYER: So we would sort of do a soft go
6 for it on those, but would particularly want to focus on
7 the p,p'-bisphenols. Did I get that right?

8 I have no idea what that means.

9 (Laughter.)

10 MS. BUERMEYER: But do that.

11 (Laughter.)

12 CHAIRPERSON LUDERER: Thank you very much for
13 your comments.

14 And the next comment is from Renée Sharp from the
15 Environmental Working Group.

16 MS. SHARP: Nancy and I are tag-teaming. This
17 will also be a short comment.

18 MS. BUERMEYER: Thanks for calling me first.

19 (Laughter.)

20 MS. SHARP: We actually work on both flame
21 retardants and BPA. And actually, it's for someone who's
22 spent really, at this point, probably more than 10 years
23 working to get both of those compounds essentially out of
24 various products, it's particularly actually very painful
25 to see the rise in the replacement compounds. And so I am

1 very thrilled that these are on your priority list.

2 And if for no other reason, I feel like it's an
3 important thing to do, because we need to -- you know, we
4 need to show over time, you know, the rise in certain
5 chemicals in people. They decline as they're phased out,
6 and the rise of new chemicals, because we seem -- it seems
7 like we're still, unfortunately, too far away from
8 actually getting TSCA reformed, which is our ultimate need
9 and goal. So thank you.

10 CHAIRPERSON LUDERER: All right. Thank you very
11 much. So now we have a little bit of time for some more
12 Panel discussion. And then the Panel will decide what
13 recommendations to make about these three different groups
14 of chemicals, so the non-halogenated aromatic phosphates,
15 the p,p'-bisphenols, and the diglycidyl ethers of the
16 p,p'-bisphenols.

17 And we can make different recommendations on each
18 of those three, so we don't have to do them all together.

19 Any comments, additional discussion from Panel
20 members?

21 I mean, I think one thing that was very timely
22 was that this morning we heard about all these
23 non-halogenated aromatic flame retardants that are being
24 found increasingly, and seeming to be replacements for the
25 PBDEs.

1 As well as the bisphenol A substitutes. So you
2 couldn't have planned those presentations any better as to
3 how they linked into the afternoon ones.

4 (Laughter.)

5 CHAIRPERSON LUDERER: Dr. Bradman.

6 PANEL MEMBER BRADMAN: I mean, I'm just going to
7 say what is maybe on other people's minds, but just that,
8 you know, we kind of set out early on as one criteria or
9 at least guideline for choosing compounds for -- to make
10 them priorities was that one exposure is -- common
11 exposure is likely common, and two, that they might be
12 more prevalent in California.

13 And it seems to me any flame retardant material
14 is likely to be more prevalent in California. And given
15 that these are potentially emerging compounds, or maybe
16 they have emerged, but have not been evaluated, on a --
17 you know, a public basis, it seems to me they're kind of a
18 natural class to move up to a priority.

19 CHAIRPERSON LUDERER: Dr. Wilson.

20 PANEL MEMBER WILSON: I would just -- just to add
21 to that, you know, I was struck by the paper from Dodson
22 et al. specifically to that point that there's a section
23 in that paper called the flame retardant burden in
24 California homes. And I'll just read one piece of that
25 section which says that, "We found that...", and they list

1 the substances that they set out to identify, "...were
2 abundant and commonly detected and we hypothesized that
3 they are likely to be found in nearly all California
4 homes. In our study, the levels of individual flame
5 retardants in dust exceeded 0.01 percent with a cumulative
6 level of all flame retardants almost 0.03 percent in one
7 home.

8 Such concentration of flame retardants in dust is
9 expected to lead to 30 micrograms per day flame retardant
10 ingestion in a typical child. The average total load of
11 flame retardants in house dust was approximately 80 to 90
12 micrograms per gram. And this was, you know, a paper
13 evaluating these substances as a subset of a number of
14 others that they evaluated that are existing substitutes,
15 emerging -- actually I should say existing substitutes for
16 the phased out PBDEs.

17 So I guess would -- I can, you know, just
18 strongly concur with Dr. Bradman's point about exposure.

19 CHAIRPERSON LUDERER: Dr. McKone.

20 PANEL MEMBER MCKONE: Yeah. Tom McKone.

21 I think -- I mean probably repeating what we've
22 heard, but it's a very important issue, that in addition
23 to the three criteria that we discussed, the Panel, since
24 the beginning, has had our own criteria of making sure
25 we're getting things that are on the upswing. We started

1 with actually I think siloxane type compounds was the
2 first time that we went in and picked something that
3 was -- you know, we picked it not because it was expected
4 to be at high levels, but there was a big transition. We
5 had this goal of looking for chemicals that were moving
6 into the marketplace, so we could watch the transition, or
7 lack of transition of it showing up.

8 And I think that remains an important goal of
9 this, is not to just wait until everyone is saturated with
10 something and go, oh, yeah, it's there, right and notice
11 it, but to really see these trend lines early on. And we
12 actually may be a little late on this one, but still I
13 think that's been something we've thought about all along.
14 And I think these seem to fall into that category,
15 especially the transition in flame retardants, sealing
16 materials, and the case -- it's not up to today, but the
17 siloxanes, and their use in paper and copying products and
18 things like that. We really -- I think we have to be
19 watching for these and be aware of it.

20 CHAIRPERSON LUDERER: Dr. Kavanaugh-Lynch.

21 PANEL MEMBER KAVANAUGH-LYNCH: No.

22 CHAIRPERSON LUDERER: I thought you were nodding.

23 All right. Would anyone like to make a motion
24 regarding the non-halogenated aromatic phosphates?

25 PANEL MEMBER BRADMAN: I'd be willing to do that.

1 So the motion would be that we are proposing to -- or
2 recommending that these be moved to -- designated as
3 priority chemicals for the California Environmental
4 Biomonitoring Program. Do I need to -- is there any
5 formal language we need to use?

6 CHAIRPERSON LUDERER: Maybe I can paraphrase.
7 Dr. Bradman moves that the Panel recommend that the class
8 of chemicals called non-halogenated aromatic phosphates be
9 included as priority chemicals for the California
10 Environmental Contaminant Biomonitoring Program.

11 PANEL MEMBER BRADMAN: That's exactly what I
12 wanted to say.

13 (Laughter.)

14 PANEL MEMBER BRADMAN: Some day I feel
15 unarticulate.

16 CHAIRPERSON LUDERER: Do we have a second?

17 PANEL MEMBER WILSON: I'll second.

18 CHAIRPERSON LUDERER: We have two seconds.

19 PANEL MEMBER CRANOR: Second.

20 CHAIRPERSON LUDERER: Do we have -- should we now
21 go down the Panel.

22 Dr. Kavanaugh-Lynch would you like to start.

23 PANEL MEMBER KAVANAUGH-LYNCH: I'd love to start
24 and I vote yes.

25 PANEL MEMBER MCKONE: I vote yes. Tom McKone.

1 PANEL MEMBER CRANOR: I seconded it and vote yes.

2 CHAIRPERSON LUDERER: I vote yes.

3 PANEL MEMBER BRADMAN: I vote yes.

4 PANEL MEMBER QUINT: I vote yes.

5 PANEL MEMBER WILSON: Yes.

6 CHAIRPERSON LUDERER: All right, it's unanimous.

7 All right. Would any member of the Panel like to
8 make a motion or do we have additional discussion
9 regarding the p,p'-bisphenols?

10 PANEL MEMBER MCKONE: I would like to make the
11 motion, but I'll let you say the words --

12 (Laughter.)

13 PANEL MEMBER MCKONE: -- because I'll never
14 remember that.

15 CHAIRPERSON LUDERER: All right. Well, I have a
16 cheat sheet here in front of me.

17 (Laughter.)

18 PANEL MEMBER MCKONE: So I move that...

19 CHAIRPERSON LUDERER: Dr. McKone moves that the
20 Panel recommend that the class of chemicals called
21 p,p'-bisphenols be included as priority chemicals in the
22 California Environmental Contaminant Biomonitoring
23 Program.

24 Do I have a second.

25 PANEL MEMBER CRANOR: I second.

1 CHAIRPERSON LUDERER: Okay. We have a second.

2 (Laughter.)

3 CHAIRPERSON LUDERER: All right. We can start
4 voting on this end.

5 Dr. Wilson.

6 PANEL MEMBER WILSON: Wilson, yes.

7 PANEL MEMBER QUINT: Quint, yes.

8 PANEL MEMBER BRADMAN: Asa, yes

9 CHAIRPERSON LUDERER: Luderer, yes.

10 PANEL MEMBER CRANOR: Cranor, yes

11 PANEL MEMBER MCKONE: McKone, yes.

12 PANEL MEMBER KAVANAUGH-LYNCH: Kavanaugh-Lynch,
13 yes.

14 CHAIRPERSON LUDERER: Unanimous again.

15 (Applause.)

16 CHAIRPERSON LUDERER: And finally, would anyone
17 like to make a motion regarding the diglycidyl ethers of
18 p,p'-bisphenols?

19 PANEL MEMBER WILSON: I'd be happy to make the
20 motion that the Panel designate as potential chemicals
21 that class diglycidyl ethers of p,p'-bisphenols for
22 priority chemicals for the California Biomonitoring
23 Program.

24 CHAIRPERSON LUDERER: All right.

25 (Laughter.)

1 CHAIRPERSON LUDERER: Dr. Wilson has made a
2 motion that the Panel recommends that the class of
3 chemicals called diglycidyl ethers of p,p'-bisphenols be
4 included as priority chemicals for the CECBP.

5 And do we have a second?

6 PANEL MEMBER KAVANAUGH-LYNCH: Second.

7 PANEL MEMBER QUINT: I'll second.

8 PANEL MEMBER CRANOR: I'll second.

9 CHAIRPERSON LUDERER: Dr. Kavanaugh-Lynch, would
10 you like to start?

11 PANEL MEMBER KAVANAUGH-LYNCH: Yes.

12 PANEL MEMBER McKONE: McKone, yes.

13 PANEL MEMBER CRANOR: Cranor, yes.

14 CHAIRPERSON LUDERER: Luderer, yes.

15 PANEL MEMBER BRADMAN: Bradman, yes.

16 PANEL MEMBER QUINT: Quint, yes.

17 PANEL MEMBER WILSON: Wilson, yes.

18 CHAIRPERSON LUDERER: All right. It's unanimous.

19 (Applause.)

20 CHAIRPERSON LUDERER: All right. Dr.
21 Kavanaugh-Lynch.

22 PANEL MEMBER KAVANAUGH-LYNCH: I just wanted to
23 thank the -- I'm grateful for the materials we got
24 provided ahead of time, which made all this much easier.

25 CHAIRPERSON LUDERER: Absolutely. Thank you.

1 PANEL MEMBER WILSON: Just to add to that, it
2 absolutely makes our job easier to be able to come with
3 everything that you've done to help us prepare. So very
4 much appreciated.

5 DR. KROWECH: There's one more item on our list
6 tonight or today, which is that in preparation for this
7 meeting we sent you an updated designated chemicals list.
8 So we'd like to know are there additional designated
9 chemicals that the Panel would like to consider in future
10 meetings as potential priority chemicals?

11 CHAIRPERSON LUDERER: I don't know if any of the
12 other Panel members had this question, but I was --
13 thought it would be helpful to know which ones of these
14 are already on the priority list? I'm not sure that
15 we're --

16 DR. PLUMMER: I made copies of that. And so it
17 actually should be with your packet, if not inside the
18 folder, underneath it.

19 CHAIRPERSON LUDERER: I was looking for it,
20 because I knew you said you were going to do that, but I
21 didn't see it.

22 DR. PLUMMER: I put one on everyone's spot.

23 PANEL MEMBER QUINT: Yeah, you did. You can look
24 at mine, if you want.

25 DR. PLUMMER: That was a great suggestion.

1 DR. ZEISE: Tell us what it looks like?

2 CHAIRPERSON LUDERER: Yeah what does it look
3 like?

4 PANEL MEMBER QUINT: It has priority on it.

5 DR. PLUMMER: It looks like the designated list,
6 just it says priority list.

7 CHAIRPERSON LUDERER: I don't think I have it.

8 DR. PLUMMER: There's some on the back.

9 MS. HOOVER: I have extra copies.

10 CHAIRPERSON LUDERER: I guess I just don't have
11 it.

12 MS. HOOVER: Anybody else need a copy?

13 DR. ZEISE: Sara, can I just have a copy?

14 MS. HOOVER: Yeah.

15 CHAIRPERSON LUDERER: All right. Dr. Quint.

16 PANEL MEMBER QUINT: So I'm not -- this is not a
17 suggestion for a priority chemical, but it's a question
18 about the status of chemicals on this list. We talked
19 about diesel early, early on. And I was wondering if we
20 have any information on an analyte or -- I think that was
21 the question that we don't have an analyte for diesel that
22 we feel confident about.

23 And occasionally I would just like to hear
24 updates on some of these that are, you know, pending. And
25 D5 is the other one that I'm interested in, for many

1 reasons. The phase out of Perc is one, but it's
2 everywhere. And so, you know, from time to time, if we
3 could just revisit some of those as we're doing the
4 emerging ones, which I think is absolutely essential, that
5 would be good.

6 And then if -- I do have a chemical that I'm
7 interested in, and I'm not sure if it's within this
8 program, but it's N-methylpyrrolidone. It's a solvent,
9 and it's -- you know, toxicity-wise it certainly fits, but
10 it's now -- it was one of the chemicals that EPA just did
11 one of their first TSCA risk assessments on.

12 So it's -- you know, and with a specific use of
13 paint stripping. It's a chemical that replaced methylene
14 chloride, as most of you know. And they're looking
15 specifically at -- they did a risk assessment specifically
16 on paint stripping for both -- the risk assessment was on
17 methylene chloride, but also on N-methylpyrrolidone. It's
18 quite possible in the safer alternatives, green chemistry
19 regulation that one of the priority products might be
20 paint strippers that contain methylene chloride. And I
21 think NMP might be a substitute that people use, if that
22 happens.

23 I'm interested in that one also because it -- a
24 lot of the solvents are volatile and they don't show up in
25 NHANES. This chemical is skin absorbable. It isn't very

1 volatile, and so skin absorption is the main route of
2 exposure. So at some point, maybe we could have -- and
3 this may not be the time for it -- and it's totally
4 unregulated. Certainly in occupational health it's
5 unregulated, and there's not much -- there isn't a big
6 profile. It's not on TAC list or anything like that. So
7 anyway, that's my chemical.

8 MS. HOOVER: Okay. So I just wanted to clarify
9 that that's great. We'll take note of that. This
10 particular item is for priority, which means it needs to
11 already be on the designated list. So, you know -- but
12 now you've made the suggestion that we could look into for
13 possible designation. And we also take note of your
14 request for updates on others, and we'll do that.

15 PANEL MEMBER QUINT: Thank you.

16 CHAIRPERSON LUDERER: Apropos of updates, another
17 group of chemicals, which was in one of the Wang et al.
18 references that you -- that was included in the
19 documentation for the BADGEs was the parabens, which is
20 already on the priority list, but there was some really
21 interesting data on there that the concentrations in house
22 dust were really, really high. They estimated intake
23 would -- based on the house dust concentrations would be
24 like 1,000 nanograms per kilogram bodyweight per day in
25 U.S. and that it's very high -- they're very high in the

1 personal care products and the cosmetics. So I think, you
2 know, that might be something to revisit as well.

3 MS. HOOVER: When you say revisit, what do you
4 mean? We are measuring -- we are measuring parabens.

5 CHAIRPERSON LUDERER: Are we currently --

6 MS. HOOVER: Yes.

7 CHAIRPERSON LUDERER: We're currently measuring?

8 MS. HOOVER: Yes, yes.

9 CHAIRPERSON LUDERER: Oh, okay. Great.

10 MS. HOOVER: Dr. She has a -- do you want --
11 that's part of the phenols method.

12 CHAIRPERSON LUDERER: Okay. I guess we just need
13 to find some data on them?

14 DR. SHE: Yes. We currently measure four
15 parabens, methylparaben, ethylparaben, butylparaben, and
16 propylparaben. The highest level we found is
17 methylparaben. It's much higher than any other
18 environmental phenols within that certain chemical we
19 measured. So the methylparaben's the highest one.

20 CHAIRPERSON LUDERER: Was that in one of the --
21 have we seen data on that? Maybe I was just forgetting it
22 in the studies that --

23 DR. SHE: We did have -- we measured for the
24 MIEEP study and the FOX study, but we are not ready to
25 present. I hope the next SGP meeting we can present.

1 CHAIRPERSON LUDERER: Look forward to that.
2 Thank you. Any other comments or suggestions for
3 chemicals that should be moved from the designated to the
4 priority list?

5 Dr. Wilson.

6 PANEL MEMBER WILSON: I guess, you know, in
7 reading the Wang paper, I was curious if we've captured
8 that -- you know, if we've adequately captured that, you
9 know, class of compounds that are the, you know,
10 substitutes for bisphenol A, in what we've just done?

11 MS. HOOVER: I can say that we have captured the
12 entire class now of p,p'-bisphenols, diglycidyl ethers of
13 p,p'-bisphenols. However, as Nancy Buermeyer was alluding
14 to earlier, those are not necessarily substitutes for
15 bisphenol A, and it's certainly not a complete capture of
16 substitutes for bisphenol A. You may cast your mind back,
17 way back, to our original preliminary screening table, in
18 which Laurel actually did a broader capture of some of the
19 other possible substitutes.

20 We have it sort of on our to-do list to circle
21 back to the -- and then we narrowed down to the ones of
22 greatest potential concern. And our original screen was
23 actually substitutes and structurally-related compounds.
24 So we're not necessarily calling -- so it's kind of a
25 confusion that people commonly refer to all of these as

1 BPA substitutes, but, no, some of them were used, you
2 know, along side of BPA. So they're not necessarily
3 substitutes. Some clearly are, like BPS and thermal
4 paper, you know, obviously a substitute for BPA.

5 So we gave it a different name, you know, to make
6 it clear that we're identifying the chemical class. But
7 we do plan to circle back and look at, say, other
8 derivatives. So we picked out the diglycidyl ether
9 derivatives, but we're aware of other derivatives of
10 bisphenols. And then there's -- too much talking again.

11 Okay. It's cutting me off at a certain point,
12 which is probably not a bad idea.

13 (Laughter.)

14 MS. HOOVER: Anyway, the diglycidyl ethers are
15 one type of derivative. We're going to look at other
16 types of derivatives. And then there's completely
17 unrelated, you know, chemicals that have no relationship
18 structurally to bisphenol A. And that's one of the
19 research projects that Breast Cancer Fund is working on.
20 So we're going to keep our eyes on that sort of thing too,
21 that type of substitute, which would be a completely
22 different document, research project, et cetera.

23 PANEL MEMBER WILSON: So that's -- if I could
24 follow up, that's basically outside the scope of this
25 discussion?

1 MS. HOOVER: Correct.

2 CHAIRPERSON LUDERER: Any other thoughts about
3 priority chemicals from the Panel?

4 Dr. Quint had mentioned a suggestion for a
5 designated -- potential designated chemical. One thing
6 that I wanted to just suggest in that regard, since
7 someone else already started suggesting other designated
8 chemicals, was the organotins.

9 I know we had talked about them a long time ago
10 in the context of their use as pesticides in California,
11 and had said that they're not very widely used in
12 California as pesticides, but I know there's some
13 information that they may actually be in PVC plastics,
14 which would be a much larger source of exposure for a lot
15 more people than their use as pesticides. And there's all
16 this very disturbing data about the triphenyltin and
17 tributyltin being environmental obesogens. So I think
18 that that would be something we might want to think about.

19 MS. HOOVER: Yeah, organotins are on our tracking
20 list of to-do items.

21 I thought maybe what I could do is just give you
22 an example of what we were trying to point to in this
23 item.

24 (Laughter.)

25 PANEL MEMBER WILSON: Please.

1 (Laughter.)

2 MS. HOOVER: So just -- and this -- you know,
3 it's fine if you don't have any suggestions or if you
4 think about it later, and you come across something. But
5 say, for example, in the metals, you know, originally the
6 Panel went through and chose a few metals for priority.
7 There's many more metals on the designated list, and
8 metals that the lab can measure. So that's an example of
9 something you might want to look through and see if
10 there's other metals of interest to the Panel. Again, you
11 do not have to do this today.

12 There's some example pesticides you might want to
13 take a look at. So there's things -- you know, I know
14 it's kind of hard in a way -- what we may be should have
15 done is give you the list of things that aren't priority
16 yet, which we could follow up with. We could do a compare
17 and just send you the things that are not already
18 priority, and have you comment on those.

19 So this is -- you know, we have plenty of work to
20 do. We just wanted to give you an opportunity to bring
21 out other things that may be are not on our radar screen.

22 CHAIRPERSON LUDERER: Dr. Wilson.

23 PANEL MEMBER WILSON: Yeah. I guess, you know,
24 in looking at the metals, in particular, you know that are
25 on the designated list, are there substances there -- are

1 metals there that are of particular interest to OEHHA from
2 the point of view of air pollutants, for example?

3 MS. HOOVER: So this item was just to give you
4 guys an opportunity --

5 (Laughter.)

6 MS. HOOVER: -- to give us input. And we're not
7 -- we don't have any input prepared for you, and I
8 can't -- you know, I can't answer that question off the
9 top of my head, but I can get back to you.

10 And just to let you know on metals, specifically
11 Jed Waldman of EHL and I are planning to do a more
12 thorough presentation of the designated metals, and tell
13 you more about them, at some point. And we had talked
14 about that before.

15 But we're just -- again, you know, I was just
16 using it as an example, if you came across something in
17 your research, oh, this metal is interesting. There's
18 some interesting -- there was actually an interesting
19 result. I need to get the details of it, but at the BFR.
20 You know, there's some measurement of metals in a study in
21 Vietnam, is that right? Yeah, a study in Vietnam of
22 electronic recyclers, yeah.

23 So there are some metals that popped up there,
24 but it went by so fast, we couldn't -- you know, we didn't
25 have the details, so I'm going to track that down. So,

1 you know, there's options I think that would be of
2 interest. But it really again -- you know, this was an
3 opportunity just to let you tell us things.

4 CHAIRPERSON LUDERER: Dr. Cranor.

5 PANEL MEMBER CRANOR: You actually mentioned
6 something that occurred to me when thinking about metals.
7 Are there metals used in the electronic industry that are
8 likely to have substantial exposure to recyclers or
9 manufacturers that are not on the list? I don't know, but
10 there are some pretty unusual metals out there, I think,
11 and --

12 MS. HOOVER: You mean, the designated list?

13 PANEL MEMBER CRANOR: That are -- yes, that are
14 not on this designated list, right.

15 MS. HOOVER: Right. Yeah. There are definitely
16 metals that are not on the designated list that may be of
17 interest. So that's another thing as a companion
18 presentation, we could talk -- you know, there's a set on
19 the designated list that could be moved easily to the
20 priority, then there might be some candidate metals that
21 we'd want to look at for potential designated.

22 PANEL MEMBER BRADMAN: I think it definitely
23 would be helpful. You mentioned earlier about creating a
24 list that's exclusively designated chemicals just to --

25 MS. HOOVER: No, you have a list that's

1 exclusively designated. You mean --

2 CHAIRPERSON LUDERER: You mean designated but not
3 prioritized.

4 MS. HOOVER: I'm sorry. Designated only. Got
5 it. Sorry.

6 CHAIRPERSON LUDERER: You know, just one thought
7 on the metals. I've been seeing a lot in clinic. It's
8 concerns about cobalt from metal hip implants and other
9 joint replacements. So that might be one to consider. I
10 think there is a lot of public interest and concern about
11 that. The other concern with those implants that
12 people -- the other metal is chromium, which is not on the
13 designated list.

14 MS. HOOVER: Yeah, and chromium is one that,
15 yeah, has come up as a possible thing to consider for
16 designation.

17 PANEL MEMBER WILSON: I would --

18 CHAIRPERSON LUDERER: Dr. Wilson.

19 PANEL MEMBER WILSON: Yeah, sure. You know, you
20 led us down this -- the path of metals, and now we can't
21 seem to get off of it.

22 (Laughter.)

23 PANEL MEMBER WILSON: I think it's a symptom of
24 being ill-prepared actually to answer this question. But
25 I think there's -- something that is of interest is that,

1 you know, municipalities across the State are having to
2 reach these, you know, zero waste objectives and
3 continuing a reduction in their waste streams by State
4 mandates.

5 And so it's requiring the emergence of a fairly
6 robust recycling industry in California. And so the Bay
7 Area Air Quality Management District is dealing with a
8 question of metal emissions. And one of them is
9 manganese, but I suspect there are others, and -- but
10 this -- you know, this actually may be something that
11 would fall within, you know, just the class of substances
12 or pollutants actually that may be, you know, emerging in
13 California as a result of the, you know, recycling and
14 waste reduction standards that the municipalities have to
15 reach, but that would obviously require some additional
16 work to decide whether we would elevate one or more of
17 those to a priority, you know, substance.

18 CHAIRPERSON LUDERER: All right. We have now
19 some time allotted for open public comment period.

20 CHAIRPERSON LUDERER: Actually, this is public
21 comment on this item.

22 CHAIRPERSON LUDERER: Okay. Public comment on
23 this item. And then we have an open public comment
24 period. Yes. Do we have two or one?

25 Nancy Buermeyer from the Breast Cancer Fund.

1 MS. BUERMEYER: Thank you very much. And I will
2 preface my comments by saying I know just enough to be
3 dangerous on this, so please bear with me.

4 But a couple of chemicals popped off the list of
5 designated chemicals that I know we, at the Breast Cancer
6 Fund, care a lot about. We run the Campaign for Safe
7 Cosmetics, and looks specifically at a lot of chemicals
8 that are in personal care products.

9 Toluene is one of those chemicals that shows up
10 in nail polish and some other things. And that's been a
11 chemical that we've been really concerned about. And I
12 believe the Panel had a conversation about synthetic
13 musks, at some point, but I don't see them on here. And
14 that maybe because they are called something else, besides
15 synthetic musks.

16 MS. HOOVER: No.

17 MS. BUERMEYER: But that is also something that
18 we have continued to have concern about. But if it's not
19 on the -- I guess that might be to say put it on the
20 designated list, if it's not already there.

21 The other thing I wanted to say is a little bit
22 prospective. There was a law that passed in 2008 that
23 banned six phthalates in toys. And as a part of that law,
24 it required the Consumer Product Safety Commission to put
25 together a Chronic Hazard Advisory Panel, or a CHAP, to

1 look at the toxicity and the hazard data for the entire
2 class of phthalates and phthalate substitutes.

3 That report is not out yet, but there is some
4 thought that they will identify phthalates beyond the six
5 that are in the law, that might sort of point us to some
6 things that have more hazard data out there that we might
7 then want to look at for exposure data.

8 So that report is not out. We're hoping to
9 have -- it will come out this year, depending on how
10 successful the American Chemistry Council is at delaying
11 that. But in the meantime, I just wanted to sort of say,
12 it looks like the phthalates here are only those
13 designated. You didn't put them in as a class the way you
14 did flame retardants.

15 So it might require going back phthalate by
16 phthalate. But I just wanted to mention that, and say
17 that we'll keep in touch when we hear more about what that
18 report comes without with.

19 Thank you.

20 MS. HOOVER: So just one clarification. Yes,
21 synthetic musks are on our list to do a designated
22 document on. And that's coming up hopefully by
23 November -- the November meeting. And thank you for the
24 information on phthalates. And, yeah, that's actually
25 another thing that you raise that's an important point,

1 which is some -- you know, you have to read our footnotes.
2 Some of the classes on designated or priority are as a
3 class, and some were those that were originally measured
4 and listed by CDC. So that's another thing we could
5 consider, which is go back and try to designate as a
6 class. So phthalates is maybe a good one on that.

7 And you could look at things in that respect too,
8 if there's things where you'd want to look at -- have us
9 look at -- bring it back as a class, which we've done on
10 at least one I know we did a document like that, where we
11 turned it into class, pyrethroid pesticides, I believe.

12 Yeah. Now, I'm dangerous talking off the top of
13 my head.

14 (Laughter.)

15 MS. HOOVER: Yes, pyrethroid pesticides is listed
16 as a class now, which we brought a document on that for
17 you.

18 CHAIRPERSON LUDERER: Okay. Do we have any
19 additional public comments for the open public comment
20 period?

21 No.

22 All right. Well, then I think we've actually
23 finished a little bit ahead of schedule. So a transcript
24 of this meeting will be available on the Biomonitoring
25 California website in about a month, as always. And then

1 I want to remind everyone the next SGP meeting will be on
2 August -- on Wednesday, August 14th at a new location, the
3 California Endowment Oakland Conference Center at 1111
4 Broadway in Oakland. So we'll hear more about that as the
5 date approaches.

6 All right. Thank you, everyone, for coming, and
7 the meeting is adjourned.

8 (Thereupon the California Environmental
9 Contaminant Biomonitoring Program, Scientific
10 Guidance Panel meeting adjourned at 4:48 p.m.)

11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

1 C E R T I F I C A T E O F R E P O R T E R

2 I, JAMES F. PETERS, a Certified Shorthand
3 Reporter of the State of California, and Registered
4 Professional Reporter, do hereby certify:

5 That I am a disinterested person herein; that the
6 foregoing California Environmental Contamination
7 Biomonitoring Program Scientific Guidance Panel meeting
8 was reported in shorthand by me, James F. Peters, a
9 Certified Shorthand Reporter of the State of California,
10 and thereafter transcribed under my direction, by
11 computer-assisted transcription.

12 I further certify that I am not of counsel or
13 attorney for any of the parties to said meeting nor in any
14 way interested in the outcome of said meeting.

15 IN WITNESS WHEREOF, I have hereunto set my hand
16 this 25th day of April, 2013.

17
18
19 A handwritten signature in blue ink that reads "James F. Peters". The signature is written in a cursive style with a stylized "y" in "James" and a large "P" in "Peters".

20
21
22 JAMES F. PETERS, CSR, RPR
23 Certified Shorthand Reporter
24 License No. 10063

25
5/28/2013
JPETERS 14:28:26
PM;