

MEETING  
STATE OF CALIFORNIA  
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT  
ENVIRONMENTAL CONTAMINANT BIOMONITORING PROGRAM  
SCIENTIFIC GUIDANCE PANEL

CAL/EPA HEADQUARTERS BUILDING  
COASTAL HEARING ROOM  
1001 I STREET  
SACRAMENTO, CALIFORNIA

THURSDAY, NOVEMBER 8, 2012

10:10 A.M.

JAMES F. PETERS, CSR, RPR  
CERTIFIED SHORTHAND REPORTER  
LICENSE NUMBER 10063

APPEARANCES

PANEL MEMBERS

Ulrike Luderer, Chairperson, M.D., Ph.D.

Asa Bradman, M.S., Ph.D.

Thomas McKone, Ph.D.

Julia Quint, Ph.D.

Michael P. Wilson, Ph.D., M.P.H.

OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT

Dr. George Alexeeff, Director

Ms. Carol Monahan-Cummings, Chief Counsel

Ms. Amy Dunn, Safer Alternative Assessment and  
Biomonitoring Section

Ms. Sara Hoover, Chief, Safer Alternatives Assessment and  
Biomonitoring Section

Dr. Gail Krowech, Staff Toxicologist, Safer Alternatives  
Assessment and Biomonitoring Section

Dr. Melanie Marty, Acting Chief, Reproductive and Cancer  
Hazard Assessment Branch

Dr. Laurel Plummer, Associate Toxicologist, Safer  
Alternatives Assessment and Biomonitoring Section

Dr. Lauren Zeise, Deputy Director, Scientific Affairs

DEPARTMENT OF PUBLIC HEALTH

Dr. Michael Lipsett, Chief, Environmental Health  
Investigations Branch

Dr. Laura Fenster, Research Scientist, Environmental  
Health Investigations Branch

APPEARANCES CONTINUED

DEPARTMENT OF PUBLIC HEALTH

Ms. Lauren Joe, Research Scientist, Environmental Health  
Investigations Branch

Dr. Sandra McNeel, Research Scientist, Environmental  
Health Investigations Branch

Dr. Jianwen She, Chief, Biochemistry Section,  
Environmental Health Laboratory

DEPARTMENT OF TOXIC SUBSTANCES CONTROL

Dr. Myrto Petreas, Chief, Environmental Chemistry Branch

ALSO PRESENT

Mr. Davis Baltz, Commonweal

Ms. Nancy Buermeyer, Breast Cancer Fund

INDEX

|  | <u>PAGE</u> |
|--|-------------|
| Welcome by George Alexeeff, Director, Office of Environmental Health Hazard Assessment (OEHHA) | 1           |
| Overview of the Meeting BY Ulrike Luderer, Chair, Scientific Guidance Panel (SGP)              | 2           |
| Program Update   |             |
| Presentation: California Department of Public Health (CDPH)                                    |             |
| Dr. Lipsett  | 5           |
| Ms. Dunn   | 20          |
| Panel Questions  |             |
| Dr. Lipsett  | 16          |
| Ms. Dunn   | 24          |
| Public Comment   | 30          |
| Panel Discussion   | 35          |
| The California Teachers Study: Preliminary Results   |             |
| Presentation: Department of Toxic Substances Control (DTSC)                                    | 35          |
| Panel Questions  | 49          |
| Public Comment   | 56          |
| Panel Discussion   | 56          |
| Preliminary Results for Some Environmental Phenols and Polycyclic Aromatic Hydrocarbons        |             |
| Presentation: CDPH   | 57          |
| Panel Questions  | 64          |
| Public Comment   | 66          |
| Panel Discussion   | 66          |
| Afternoon Session  | 71          |
| Potential Designated Chemicals: p,p'-bisphenol As and Diglycidyl Ethers of p,p'-bisphenol As   |             |
| Presentation: OEHHA  | 71          |
| Panel Questions  | 80          |
| Public Comment   | 99          |
| Panel Discussion and Recommendation  | 102         |
| Chemical Selection Planning: Discussion of Synthetic Musks for Potential Future Consideration  |             |
| Presentation: OEHHA  | 109         |
| Panel Questions  | 119         |
| Public Comment   | 125         |
| Panel Discussion   | 127         |

INDEX CONTINUED

|                                    | <u>PAGE</u> |
|------------------------------------|-------------|
| Input on SGP Agenda Items for 2013 |             |
| Presentation: OEHHA                | 129         |
| Panel Questions                    | 133         |
| Public Comment                     | 149         |
| Panel Discussion                   | 150         |
| Open Public Comment Period         | 150         |
| Wrap up and Adjournment            | 150         |
| Reporter's Certificate             | 152         |



1 their busy schedules to come to this meeting to advise us  
2 on this very important program. I have a couple of  
3 announcements. First of all, the restrooms, out the back  
4 door and then you go to the left. And then please notice  
5 the emergency exits, 2 in the back, and on the side and up  
6 here.

7           So this meeting is being webcast, so please be  
8 sure if you're going to speak to speak into a microphone,  
9 either at the front or a microphone if we're handing one  
10 around at that time. And the meeting will also be  
11 recorded, and transcribed. There will be a transcript of  
12 the meeting posted on the website in about a month after  
13 this meeting.

14           So just to refresh everyone's memory, I'll give  
15 an overview of the last Scientific Guidance Panel meeting.  
16 The last Scientific Guidance Panel meeting was held in  
17 Oakland on July 26th, 2012. And at that meeting, the  
18 Panel heard about the Program, the laboratory chemical  
19 selection updates and they provided input into these  
20 activities.

21           The Panel discussed and provided feedback on the  
22 issues interpreting and communicating biomonitoring  
23 results for chemicals with short half-lives in humans.  
24 And if you're interested in more information about this  
25 meeting, you can visit our website [biomonitoring.ca.gov](http://biomonitoring.ca.gov).

1           So now, I'd like to turn the meeting over to Dr.  
2 Luderer.

3           CHAIRPERSON LUDERER: Thank you, Dr. Alexeeff.  
4 I'd also like to welcome everyone, all the members of the  
5 public and -- can you hear me better now -- the Guidance  
6 Panel members and the Program staff.

7           I'd like to just briefly review what the Panel  
8 goals are for today's meeting. We're going to receive  
9 Program updates and provide input on the Program updates.  
10 We're going to hear some preliminary results from  
11 California Teachers Study, as well as preliminary results  
12 for the environmental phenols and polycyclic aromatic  
13 hydrocarbons from 2 other studies.

14           And in the afternoon, we're going to consider the  
15 group the p,p'-bisphenol A diglycidyl ethers of  
16 p,p'-bisphenol A as potential designated chemicals. And  
17 we're also going to be discussing synthetic musks for  
18 possible future consideration by the Program and provide  
19 input on next steps regarding synthetic musks.

20           And finally, we'll be providing input on the  
21 Scientific Guidance Panel agenda items for 2013.

22           So each presentation will be followed by an  
23 opportunity for questions from Panel members as well as a  
24 public comment period and then time for further Panel  
25 discussion and recommendations.

1           Just to remind everyone about how we'll be  
2 handling public comments. If a member of the public would  
3 like to make a comment and you're here in the room, then  
4 you should fill out a comment card, which can be obtained  
5 from Amy Dunn. She's holding up the purple comment cards.  
6 And also I guess there are also some in the back of the  
7 room as well on the table in back of the room.

8           And if you're participating in the meeting by  
9 webcast, then you could submit comments by email and we'll  
10 also read those during the public comment period.

11           To ensure that the meeting remains on schedule,  
12 at least somewhat on schedule, we will need to limit the  
13 public comments, so we'll be timing them. And we'll be  
14 giving everyone equal time to speak who wishes to speak.

15           So, again, please keep your comments focused on  
16 the agenda topics that are being presented. There's also  
17 going to be an open public comment period at the very end  
18 of the day, at which any issue related to the  
19 Biomonitoring Program can be brought up.

20           Also, remind -- I'd like to remind everyone to  
21 speak directly into the microphone and please introduce  
22 yourself before speaking. And this is for the benefit of  
23 people who are listening via webcast as well as for our  
24 transcriber.

25           So the materials for the meeting have been

1 provided to the Scientific Guidance Panel members, and the  
2 via the website to the public. And there are a small  
3 number of handouts on the table in the back of the room.  
4 And there's also a sample folder for viewing at the staff  
5 table in the back of the room.

6 We'll be taking 2 breaks today, one at around  
7 12:30 for lunch and another one around 3:15 in the  
8 afternoon.

9 So now I'd like to move on to the first agenda  
10 item. So the first item will be a program update. Dr.  
11 Michael Lipsett from the California Department of Public  
12 Health will be updating us on Program activities since the  
13 last meeting.

14 Dr. Lipsett.

15 MS. DUNN: I just want to make a quick  
16 announcement, Michael, before you begin. We're going to  
17 be taking photographs today for our website. So if anyone  
18 would rather not appear in a photograph on our website,  
19 just please let me know and we'll make sure to exclude any  
20 photos that include you.

21 Thank you.

22 DR. LIPSETT: I'm in the witness protection  
23 program.

24 (Laughter.)

25 DR. LIPSETT: Hello. All right. There we go.

1           Okay, with all that elaborate preparation. Dr.  
2 Luderer and Panel members, I'll present a brief update of  
3 the program since the last meeting.

4           (Thereupon an overhead presentation was  
5 presented as follows.)

6           DR. LIPSETT: I'll be talking briefly about staff  
7 our ongoing field studies. I'm going to spend a few  
8 minutes talking about the Prenatal Screening Program as a  
9 source of samples for biomonitoring. The results of a  
10 survey that we did of the California Health Officers and  
11 Directors of Environmental Health, and a -- we're going to  
12 have an update on the development of our website. That  
13 will not be presented by me, but by Amy Dunn of OEHHA.

14           Okay. So in terms of staffing, I want to just  
15 say thank you and farewell to Danny Kwon whom several of  
16 you have interacted with. He has been instrumental in  
17 helping with our RFI projects and obtaining samples  
18 from -- the archived samples from ongoing studies. He's  
19 now working in the hazardous waste group in my branch.  
20 And then Dina Dobraca who's played an integral role in all  
21 of our field studies so far. She is having an extended  
22 farewell though, so she is still going to be involved with  
23 a number of programs. Although, this is not going to be  
24 her primary work anymore.

25           I want to welcome Ying Li to the Environmental

1 Health Lab. She has a degree in pharmaceutical science  
2 and has had many, many years in developing methods, and  
3 developing and validating methods for chemical analysis.

4 And Sara Encisco who is working in the  
5 Environmental Chemistry Laboratory. She has previously  
6 worked with CDC in the NHANES program and doing analysis  
7 of vitamin C and has a lot of experience as well. And we  
8 welcome both of them in the 2 laboratories.

9 At this point, we do not have a replacement yet  
10 for Dr. Das, but we are actively engaged in looking for  
11 that. Although, this is a personnel issue, and I can't  
12 talk a lot about this now, but we're hopeful we'll have  
13 somebody within the next few months, hopefully before the  
14 next Panel meeting.

15 --o0o--

16 DR. LIPSETT: Okay. So for our Maternal and  
17 Infant Environmental Exposure Program, what the -- what  
18 has happened since the last meeting is we've returned the  
19 first set of results to the participants. And this was  
20 something that was -- took a huge amount of effort to  
21 undertake this, but it is -- they finally received them  
22 and the items in yellow that are ongoing.

23 The first set of results included metals, PFCs,  
24 triclosan and BPA.

25 --o0o--

1 DR. LIPSETT: For the FOX study, the firefighters  
2 exposure -- occupational exposure study, the POPs analyses  
3 are now complete. Although, they haven't -- the results  
4 have not yet been returned to participants. And for the  
5 other analytes from creatinine on down, Dr. She will  
6 address those in his presentation.

7 --o0o--

8 DR. LIPSETT: In the Biomonitoring Exposure  
9 Studies -- the Exposure Study that we're doing in  
10 collaboration with Kaiser Permanente, the analysis of the  
11 first set of analytes has been completed since the last  
12 meeting. Again, the other things that are ongoing are  
13 shaded in yellow.

14 --o0o--

15 DR. LIPSETT: And something I did want to spend a  
16 little bit of time on now too is to just update you on the  
17 results of some of the laboratory data.

18 In March of -- in the March Panel meeting -- you  
19 know, this is really awkward having to hold up this  
20 microphone.

21 Can you hear me?

22 Thank you. That's much better.

23 Okay. So, in March, we presented some results to  
24 you that went into our data summary report, that went up  
25 our chain to be approved. It was finally approved. It's

1 now on the OEHHA website, but it's out of date. And so  
2 what I wanted to do for the next few slides is just  
3 indicate some of the progress that's been made since we  
4 presented these numbers to you previously.

5 --o0o--

6 DR. LIPSETT: So in terms of looking at metals in  
7 blood, the numbers of samples now are getting up there,  
8 when in the last -- in the report that we presented  
9 before, say, for example, cadmium, there had been only 529  
10 people who had had samples analyzed. And here this is the  
11 number of samples and not the number of people, but  
12 there's a few relatively small percentage of duplicates  
13 that have been done, so there's been a substantial  
14 increase in the number of metal samples.

15 --o0o--

16 DR. LIPSETT: The next slide for the PBDEs, these  
17 have effectively doubled since the last time, the numbers  
18 that we have here. And this is -- these are from these  
19 various studies that are listed there from FOX, the San  
20 Francisco study and -- a couple of pilot studies as well.

21 --o0o--

22 DR. LIPSETT: Next, the PCBs, it's the same --  
23 basically the same thing. The numbers have doubled since  
24 the last time.

25 --o0o--

1 DR. LIPSETT: And then perfluorinated compounds,  
2 we've seen the greatest progress. So, for instance, the  
3 top one there, there are 592 samples that have been  
4 analyzed. When we presented this to you before, there  
5 were only 203, so we are -- the laboratories are beginning  
6 to make substantial progress on this.

7 --o0o--

8 DR. LIPSETT: Finally, environmental phenols, we  
9 had not had any of those at the last meeting, and now  
10 we're starting with those. These are some of the results  
11 also that were returned to the subjects in the MIEEP  
12 study.

13 --o0o--

14 DR. LIPSETT: Okay. So I wanted to spend a few  
15 minutes talking about the Prenatal Screening Program that  
16 our department runs. So we have -- in California and Iowa  
17 are the only 2 states that run prenatal screening programs  
18 as part of Departments of Public Health. We have the  
19 largest one in the world in California.

20 They screen about 400,000 patients a year for  
21 neural tube defects and major genetic disorders. This  
22 historically has been with the second trimester samples.  
23 They've begun to look at first trimester samples as well.  
24 These are collected in 4 mL serum separator tubes.  
25 They're sent by mail, so it's at ambient temperature. So

1 for ones that are collected in the summer, for example,  
2 they're -- they can be in the mail for several days, even  
3 at pretty high temperatures, ambient temperatures.

4 But they go within 7 days to a NAPS lab, a  
5 Newborn and Prenatal Screening Laboratory. The residual  
6 sample in these labs, after the tests have been done, they  
7 discard them after 30 days. This is from 5 out of the 7  
8 NAPS labs, two of the other labs, one in Fresno and in  
9 Long Beach.

10 However, they take the residual serum and pellet,  
11 they aliquot these to cryogenic vials and these are  
12 archived at minus 70 in a repository in Long Beach. Now,  
13 the screening process -- the ones that go to the  
14 repository, the women have -- are given an opt-out on the  
15 form if they don't want their samples used for research.  
16 The ones that are in the repository are ones that we can  
17 use for additional purposes.

18 --o0o--

19 DR. LIPSETT: Like biomonitoring.

20 So, as part of this program, they also contain --  
21 collect data on demographics and some aspects of the  
22 pregnancy, including the gestation -- estimate gestational  
23 age when the samples are collected. These data can be  
24 used both for stratification. So if we wanted to get a  
25 sample say only of women ages 20 to 25 of say Asian



1 DR. LIPSETT: Some of the problems with -- or  
2 limitations with this Program would be that there is a  
3 relatively small residual sample volume of around one half  
4 to 2 mL's. So we can't do the same extensive testing that  
5 we would do with large sample volumes. So we may need to  
6 end up pooling some of these samples in order to be able  
7 to look particularly at the POPs.

8 One other potential -- really significant  
9 potential limitation is that when they do the testing for  
10 these various markers like alpha-fetoprotein, these metal  
11 probes are inserted into these vials which can sit out on  
12 autosampler racks for up to 3 or 4 hours. So to the  
13 extent that there might be any dust particles with PBDEs  
14 or something like that, that could fall into one of these  
15 vials while they're open, that could be a potential  
16 problem.

17 So what we've done now is the lab, the ECL lab  
18 staff, has obtained some blank tubes for testing and  
19 they're going to be going through a series of QC tests to  
20 identify the extent to which this might be a problem in  
21 terms of contamination with artifacts.

22 And the other limitation that I didn't put up on  
23 the slide is that because these are serum samples, really  
24 we can only be looking at the POPs. You can't look at  
25 metals for which we require whole blood, and the



1 California's population. We're heartened to see that more  
2 than half had heard of the Program before. And one of the  
3 benefits of this was that we got 21 new people signing up  
4 for our listserve from these local health departments.

5 This is a map showing in green the counties that  
6 responded. So you can see that the mountain counties were  
7 not -- well, they're -- there are relatively few of them  
8 to begin with, but we didn't have a great response there,  
9 but we did throughout the rest of California.

10 --o0o--

11 DR. LIPSETT: One of the things that we asked  
12 about was the kinds of resources that our Program could  
13 provide that they might find useful. So if you looked to  
14 the left of this graph about things that they would  
15 potentially distribute to their constituents or would use  
16 internally, you can see that there is interest in the  
17 chemical fact sheets, and in a pamphlet on reducing  
18 chemical exposures.

19 And internally, potentially about a third of them  
20 would be interested in having some sort of webinar, a  
21 written summary of the program, or a tutorial on  
22 biomonitoring.

23 --o0o--

24 DR. LIPSETT: So that's all I wanted to talk  
25 about. Are there any questions for me before Amy takes

1 over with a description of the website update?

2 CHAIRPERSON LUDERER: Any questions from Panel  
3 members for Dr. Lipsett?

4 Dr. Wilson.

5 PANEL MEMBER WILSON: Thank you. Mike Wilson.

6 Michael, given the limitations that you've  
7 described for the prenatal samples, do you have a sense of  
8 what the potential is there for a number of samples that,  
9 you know, that could be analyzed for biomonitoring in the  
10 course of a year?

11 DR. LIPSETT: Well, I think it would really  
12 depend on what the capabilities are of the laboratories.  
13 And that will depend, in part, on both the funds that we  
14 have to support State staff and external funding like we  
15 currently have from CDC. But potentially, we could  
16 purchase, you know, hundreds, if not thousands, of these  
17 samples. And it's really going to be limited only by the  
18 laboratory capacity. And the lab directors I think could  
19 speak more knowledgeably about that than I could.

20 PANEL MEMBER WILSON: All right. Thank you.

21 CHAIRPERSON LUDERER: Dr. Quint.

22 PANEL MEMBER QUINT: This is Julia Quint.

23 DR. LIPSETT: Your mic is not on Julia.

24 PANEL MEMBER QUINT: Okay, here we go.

25 Julia Quint.

1 I think the prenatal sample -- the possibility of  
2 doing biomonitoring on those is very exciting. And I was  
3 just wondering -- I know you're doing some testing on the  
4 tubes, and, you know, there may be some challenges in  
5 terms of how they're currently collected. Is there any  
6 possibility that should you find that the method -- you  
7 know, that the way they're collected, either the tubes  
8 themselves or the ability of dust coming into the tubes,  
9 that they could change their methods, so the tubes -- or,  
10 I mean, could that be negotiated in some way with the  
11 current practice in the labs for how they're doing this,  
12 or they have been doing this for a thousands years that  
13 way and no chance of changing the procedure?

14 DR. LIPSETT: I think we'll jump off that bridge  
15 when we come to it.

16 PANEL MEMBER QUINT: Right.

17 DR. LIPSETT: I suspect that it will be very  
18 difficult to make modifications, because they have their  
19 labs already set up with those autosampler racks. It  
20 would be something that is -- would require, I think,  
21 probably not just a change of their practices, but a  
22 change of their infrastructure, and their laboratories.  
23 So I think that the likelihood that that would happen,  
24 based on the request of this program alone, is probably  
25 pretty slim.

1           PANEL MEMBER QUINT: Right. But other people  
2 will be requesting -- I mean, after 2013, it sounds like  
3 other people will be interested in these samples.

4           DR. LIPSETT: There's already a queue.

5           PANEL MEMBER QUINT: There's already a queue, so  
6 power in numbers.

7           DR. LIPSETT: Well -- and it really depends on  
8 what other people are going to be requesting. I mean, in  
9 this prenatal program, they're looking for things alpha  
10 fetoprotein, human chorionic gonadotropin. These sorts of  
11 larger types of -- you're not really going to expect that  
12 to be floating around. It's not a significant indoor air  
13 contaminant, neither of these, to my knowledge.

14           And I think that most of the researchers are  
15 going to be looking for similar kinds of macromolecules as  
16 opposed to biomonitoring.

17           PANEL MEMBER QUINT: Yeah, which is very  
18 important. Yeah, exactly. Thanks.

19           CHAIRPERSON LUDERER: Dr. Bradman.

20           PANEL MEMBER BRADMAN: I have just 2 comments  
21 related to this QC issue. One, you mentioned here that  
22 the samples are sent by mail for several days to the NAPS  
23 lab. And perhaps another QA/QC check would be to take  
24 some samples and spike them and send them through the mail  
25 to perhaps your own lab, and then see -- and look at

1 analyte stability.

2           We've done that with our CHAMACOS samples for  
3 samples that need to be shipped unfrozen, and that way you  
4 get some sense of, you know, how stable the compounds are.

5           Another thing, would it be possible to work with  
6 some of the labs a priori to see if they can ship the  
7 samples by mail, but with ice packs, not on dry ice, not  
8 frozen, but could they at least be kept cool. And that's  
9 kind of related to Dr. Quint's comment.

10           It would be another modification, but perhaps in  
11 a special study that could be arranged.

12           DR. LIPSETT: Yeah. I think -- with respect to  
13 the earlier QC suggestion, I think this is something that  
14 Dr. Petreas can talk about. I think they were planning to  
15 do something like this, but using some of their bovine  
16 samples.

17           PANEL MEMBER BRADMAN: Exactly.

18           DR. LIPSETT: But she could speak more  
19 knowledgeably to that. With respect to their -- some of  
20 the -- these samples are collected by providers all over  
21 the State. And it's the providers who ship them then to  
22 the laboratories. So it would involve possibly working  
23 with, you know, one or more of the providers that do  
24 collect these samples to do that kind of shipment. And I  
25 think that that would be possible to do something like

1 that, but, again, it's the kind of thing that would -- we  
2 would probably need some substantial resources to persuade  
3 them that this would be something that would be reasonable  
4 to do and to provide the resources for them to do it.

5 CHAIRPERSON LUDERER: I'd like to actually thank  
6 you for sharing the new updated aggregated data with us.  
7 That was very exciting to see kind of how the results are  
8 accumulating from the Program.

9 And I also actually had a question for you. So  
10 it was great to see that that report also was posted on  
11 the website this weekend. I was wondering if you had an  
12 update on the status of the 2012 legislative report?

13 DR. LIPSETT: It's in our agency awaiting  
14 approval. I checked on that yesterday, and that was what  
15 I was told.

16 CHAIRPERSON LUDERER: Okay. Thank you.

17 DR. LIPSETT: Thank you very much.

18 CHAIRPERSON LUDERER: Amy Dunn will be our next  
19 speaker.

20 MS. DUNN: Good morning. So what I'd like to do  
21 is just briefly give you an update on what we've been  
22 doing with our website. As you see on the top right-hand  
23 part of the slide is an image of our current site, which  
24 has been serving us well during the last 5 years that the  
25 Program has been in operation, but we've also been working



1 biomonitoring, and why is it important?

2           But then those who are interested can dig deeper  
3 into the content about that topic or related topics that  
4 we've included with links, and, in some cases, video and  
5 other kinds of content that, you know, is on our site that  
6 otherwise people might not easily find. So this is a way  
7 to kind of bring some of our interesting content up to the  
8 front where people can see it.

9           And it's also our web consultant, Studio Weeren,  
10 has been doing a wonderful job of making our content,  
11 which can be somewhat dense, easy to navigate through and  
12 good looking. And it's also fully accessible.

13   --o0o--

14           MS. DUNN: So we're getting ready to launch the  
15 site, and we're using the site launch as an opportunity to  
16 reach out to new audiences. We're going to be testing the  
17 site both before we launch it and once we have it  
18 launched. And we're hoping to bring in some new people  
19 with the website launch. So we've been distributing  
20 information to new -- in a variety of settings, mainly  
21 conferences.

22           We have these postcards that we've been handing  
23 out recently at the American Public Health Association  
24 meeting. Also, down in southern California, there was a  
25 meeting of the Exposure Assessment Association, something

1 like that. And we're also going to be handing it out at  
2 upcoming meetings like the Society for Risk Analysis and  
3 other smaller meetings.

4           So what we're looking for is your ideas about  
5 other ways we might try to use the website launch as a way  
6 to reach out to new audiences to bring them in, especially  
7 now that we're starting to have some results on our  
8 website. And this is for the Panel and the public and  
9 certainly anyone through listening on the webcast, you  
10 know, we'd welcome comments either at the meeting or after  
11 the meeting via email.

12           And we've also set up, those who have been to our  
13 website recently, and see this banner that's on the slide,  
14 we've put on our Biomonitoring homepage, and people can  
15 actually just click right on the banner to get signed up  
16 to be notified of when we're going to have this launch.  
17 And we're going to have, as part of the launch, a survey,  
18 so people can give us feedback on the site. So we're  
19 trying to make this kind of splashy and a way to, you  
20 know, start building our constituency.

21           So that's it. And if people have questions, I'd  
22 be glad to answer.

23           CHAIRPERSON LUDERER: Great. Thank you, Amy. I  
24 know we'll all be looking forward to the launch of the  
25 website. Do any of the Panel members have suggestions?

1 Dr. McKone.

2 PANEL MEMBER MCKONE: First of all,  
3 congratulations. That's great to do this. Even, I don't  
4 use brochures anymore. I can't even keep track of where  
5 they are. So everything -- you know, we're in a world  
6 where everything has to be accessible on your devices.  
7 They seem to be doing that.

8 I guess probably more than a question is in terms  
9 of how to share it better, I don't use Facebook, but I  
10 know so many people whose world is defined by what's on  
11 Facebook. And I don't know how -- you know, if you want  
12 to spread something around, that seems to be a very  
13 effective way to do it. I don't know quite how -- again,  
14 I'm not an expert on this, but it's something worth  
15 considering as a network of information.

16 Also, next week is the Society of Environmental  
17 Toxicology and Chemistry meeting in Long Beach.  
18 Historically, this has been more of an ecological type  
19 risk assessment and toxicology, but they're very much  
20 moving into human health. They even have now a working  
21 group on human health issues, which I'll be at. So if you  
22 give me a stack of these, I'll pass them out to everybody  
23 there and encourage people. It's a very different  
24 society, more broadly interested in the intersection of  
25 human and ecosystem health, but I think it's a perfect

1 opportunity.

2 MS. DUNN: Great. Yes, I have postcards I can  
3 give you today.

4 CHAIRPERSON LUDERER: Okay.

5 PANEL MEMBER MCKONE: Yeah. One more question.  
6 One of the things that come -- I know, occasionally, I get  
7 people who call me with -- you know, they see our names  
8 associated with various things and say they're really  
9 worried about some issue, you know, they think their house  
10 is contaminated. I never try to respond to people like  
11 that, because -- I mean, I just tell them you have to --  
12 you know, I'm not an expert where I can tell you, without  
13 looking, what's wrong with your house. Somebody called me  
14 about their car from Japan about whether it was  
15 radioactively contaminated.

16 But, you know, is there a way to expand this or  
17 is there a resource where people say, well, I'm worried  
18 about what's in my blood and I saw this Biomonitoring  
19 Program, who do I talk to? Is it set up to kind of  
20 provide some links like that or resource people?

21 MS. DUNN: We do have links currently, and we're  
22 certainly creating a space on our site with resources,  
23 both internal to OEHHA and CalEPA and also the Department  
24 of Public Health. But beyond that, so if you have  
25 resources that we could send people to. We do also get

1 those kinds of questions coming into our email. And I do  
2 my best to direct them to people who might have answers.

3 But one of the things that we will have on the  
4 new site is some information that's been being developed  
5 for our participants on how to reduce exposures to the  
6 chemicals that we're measuring. So there is some  
7 information like that that will be on the site.

8 But for people who have specific questions, it's  
9 nice when you have, you know, someone to send them to. So  
10 if you have suggestions of good resources, it would be  
11 nice for us to include on our site. I'd love to hear  
12 about it.

13 CHAIRPERSON LUDERER: Dr. Quint.

14 PANEL MEMBER QUINT: I'm sure you probably have  
15 done a lot of this, but I'm always surprised when I work  
16 with different groups like Cal/OSHA or just different  
17 people within California government the extent to which  
18 they are not aware of programs like this one, and other  
19 programs going on, you know, the whole silo effect. So I  
20 was wondering if there -- you know a way to do outreach  
21 just get on some agenda to just briefly describe the new  
22 exciting website, so that people can be more aware of the  
23 work that's being done here, because, you know, the Green  
24 Chemistry Program within DTSC.

25 Everybody is looking at emerging -- not

1 everybody, but, I mean, you know, some of these programs  
2 are looking at issues of chemical toxicity and emerging  
3 chemicals and things like that, and a lot of the work here  
4 is very relevant to the work that they are doing.

5           So, however, you know, to -- you can reach out to  
6 them and then use the website as a way that they can stay  
7 in touch with the work of the Program, I think, would be  
8 excellent.

9           MS. DUNN: Thank you for that suggestion.

10          CHAIRPERSON LUDERER: Dr. Wilson.

11          PANEL MEMBER WILSON: Thank you for that -- for  
12 the info here, Amy, and congratulations on taking this  
13 next step. And I had an experience a little while ago of  
14 running a workshop up in Martinez with the United Steel  
15 Workers Union on confined space fatalities. And chemical  
16 exposures that occur in refineries and so forth.

17           And in the end of that workshop, I did a little  
18 session on biomonitoring. And contaminants identified in  
19 umbilical cord blood and also the NHANES information. And  
20 when we did a little survey at the end of the workshop,  
21 without question the thing that was most interesting and  
22 most compelling to that room full of refinery workers was  
23 that information regarding umbilical cord blood  
24 contaminants and the NHANES biomonitoring information,  
25 which I was actually surprised and -- because, you know,

1 they have, you know, some very, you know, hazardous  
2 confined space issues as well.

3           And so I'm wondering if, you know, it would be  
4 helpful to -- for me and my colleagues to be able to point  
5 people, you know, workers who might be interested in this  
6 to the website. And if there was a place on the website  
7 that was something around resources for workers, it  
8 would -- you know, and start making those linkages between  
9 how biomonitoring relates to occupational exposures and,  
10 you know, healthy families and children and so forth, I  
11 think would be a great contribution. And I'd be happy to  
12 help in whatever way I could with that.

13           MS. DUNN: Great. Thank you.

14           CHAIRPERSON LUDERER: Thank you. Amy, I actually  
15 did have one other suggestion for another Society, which  
16 you may have already thought of, the Society of  
17 Toxicology, which has their annual meeting in March. So I  
18 don't know if that will be too late, but I think that  
19 would be another great place. There would be a lot of  
20 people that attend that conference that would probably be  
21 interested in --

22           MS. DUNN: And it might be that we could reach  
23 out to groups, you know, that -- you know, after the site  
24 launch, but also if we can find a way through a listserv,  
25 through, you know, someone who's in the society might be

1 able to get it in a newsletter or -- you know, some kind  
2 of thing that goes out to people.

3 So thank you.

4 CHAIRPERSON LUDERER: And then one final thought  
5 was we've had a lot of it at all of these different  
6 meetings that we've had of the Scientific Guidance Panel  
7 representatives from a lot of different community groups  
8 and other stakeholders. And I wonder -- I mean, I assume  
9 that many of those already subscribe to the listserv, at  
10 least some of their members, but whether we could use them  
11 to reach out to kind of their constituents, their  
12 membership to publicize the website in some way.

13 MS. DUNN: Yes. I'm certainly hoping -- well, we  
14 do have access to certain listservs, like the Cal/EPA  
15 Environmental Justice listserv. But those kinds of  
16 listservs could reach some people, but I am hoping that  
17 when the site is a little closer to actually -- you know,  
18 people can actually go there to have a link that people  
19 will then forward through their networks.

20 Thank you.

21 CHAIRPERSON LUDERER: Thank you very much.

22 All right. We'll move on now to the next agenda  
23 item. This will be Dr. Myrto Petreas from the Department  
24 of Toxic Substance Control who's going to be telling us  
25 about the preliminary results from the California Teachers

1 Study.

2 Sara, did you have a --

3 MS. HOOVER: Sorry, I wasn't -- did you call for  
4 public comment on Item 1?

5 CHAIRPERSON LUDERER: I'm sorry. Thank you for  
6 reminding me.

7 Amy, do we have any public comments on that last  
8 item?

9 MS. DUNN: There's none by email, but it looks  
10 like there's one in the room.

11 CHAIRPERSON LUDERER: Or 2? Are there 2?

12 And my apologies on that. I was wondering why we  
13 were ahead of schedule.

14 Yes, please. And could you please introduce  
15 yourself, since I don't have the card.

16 MS. BUERMEYER: Absolutely. I'm Nancy Buermeyer  
17 with the Breast Cancer Fund. And I just want to continue  
18 to say how much we support this program and all the work  
19 that it does.

20 And in thinking about other places to get the  
21 word out, it's certainly helpful to our advocacy efforts  
22 if this program is better known and the value of it is  
23 better appreciated. So one thing I would suggest is  
24 reaching out to the CHANGE Coalition, the Californians for  
25 a Healthy --

1 MR. BALTZ: And Green Economy.

2 MS. BUERMEYER: -- and Green Economy.

3 Thank you, Davis.

4 And we could probably help with that. And the  
5 other thing I would like to think about and work with the  
6 Program on is how do we get this out to the State  
7 Legislators, the people who actually hold the purse  
8 strings for this -- or at least 1 or 2 of the purse  
9 strings for this Program. How do we find a way to  
10 highlight the Program and its accomplishments to the State  
11 Legislature?

12 So I will happily work with Michael and Amy on  
13 those things. So thanks again for all your work.

14 CHAIRPERSON LUDERER: Thank you very much for  
15 those comments. And we also have comments from Davis  
16 Baltz from Commonweal.

17 MR. BALTZ: Thank you. Good morning, everyone.  
18 Davis Baltz with Commonweal. I'd also like to  
19 congratulate the Program on the ongoing work that they're  
20 doing with, you know, as we've known from the beginning,  
21 less than the full funding that they need and staffing  
22 changes. And for the past few months without a director,  
23 and I know they've been working hard to find someone.

24 The presentation Michael -- Dr. Lipsett was very  
25 informative. I just wanted to comment on a couple of

1 things. I was, you know, pleased to hear that you got  
2 quite a bit of interest from county health officers and  
3 other county officials who are in charge of environmental  
4 health. And I'm just wondering if it would be worth it to  
5 also reach out to cities that have, you know, a large  
6 enough environmental health department or departments of  
7 public health for the handful of cities that have that,  
8 and try to get them interested and into the loop.

9           In terms of, you know, cementing their interest  
10 from some of these counties, would it be worth it to  
11 invite them specifically to come to a future Guidance  
12 Panel meeting and perhaps -- I don't know if it would be  
13 appropriate, but if there was some part of their  
14 programming that related to biomonitoring, perhaps they  
15 could be asked to make a small presentation.

16           And another idea would be, since we almost always  
17 meet in Sacramento and occasionally in Oakland, obviously  
18 budget constraints, but could we go on the road to Los  
19 Angeles, at some point, and attract some new potential  
20 audiences down there.

21           The prenatal sample work is very exciting. I  
22 think, you know, there are more than half a million babies  
23 born in California every year. It's 560,000, something  
24 like that. So these kinds of results, once they would be  
25 available, if, you know, the QC issues are worked out, I

1 think this kind of data will be very compelling to  
2 communities.

3           Dr. Wilson has talked about the response he got  
4 in Martinez with the steel workers' hearing about  
5 contamination of cord blood. And, you know, when we first  
6 started doing biomonitoring a decade or so ago, when  
7 people first found out about it, they were, you know,  
8 taken aback. It's actually kind of shocking information.  
9 And those of us who've been around it for a decade have  
10 kind of become used to biomonitoring and what it tells us.  
11 But for new audiences, it can really be galvanizing.

12           So I think, you know, generating more data, if  
13 it's possible, about prenatal exposure will be very useful  
14 and helpful for the Program.

15           In terms of trying to attract medical students or  
16 nursing students that Dr. Lipsett has been working on at  
17 UCSF, are there other medical or nursing schools that have  
18 been approached? I realize there would be a number of  
19 hurdles before something like that could come to fruition,  
20 but, for example, USF is starting a new environmental  
21 health nursing program. Barb Sattler is the Director  
22 there. We know her. And if you haven't talked with her  
23 yet, that might be another program to just run it by and  
24 see if there's some interest.

25           I think, you know, at the end of the day, if we

1 could get medical students and nursing students to be  
2 biomonitored during their training, it would have effects  
3 that would be felt long after they graduated.

4           The election is over. It appears that the  
5 Democratic majorities in both houses have increased. And  
6 again, coming back to the prenatal study, if the samples  
7 can be available for \$37 a sample, that does seem to be a  
8 relatively reasonable cost. And if we can interest some  
9 potential legislators who might have influence over the  
10 budget, I think there's going to be a lot of possibilities  
11 for talking about new revenue streams. So let's -- it's  
12 not your responsibility, of course, but for those of us in  
13 the public interest community, I think we're very  
14 interested to track this and see what we can do to help.

15           And then I guess, finally, on the website.  
16 Congratulations, Amy, we're -- you know, for those of us  
17 who are a bit older, we don't -- we're not wedded to our  
18 devices like some young people, but I think, you know,  
19 exploring new ways of getting information about the  
20 Program out are always going to be useful.

21           As I've said in the past sometimes at these  
22 meetings, I think that having actual data that is  
23 available to share with communities is the step that's  
24 really going to generate additional interest, get more  
25 people signed up for the listserv, get more people coming

1 to these meetings.

2           So to the degree -- and I didn't hear an update  
3 about this, but if there is going to be studies actually  
4 published in journals about the work of the Program so  
5 far, if those can be dovetailed or coordinated with the  
6 website release or at least have that data available when  
7 the website does go public, I think that would be useful  
8 and it would be helpful for us also to be able to point  
9 people to those studies.

10           So thanks for the chance to comment as always.  
11 I'm only going to be with you this morning.  
12 Unfortunately, I've got some other meetings this  
13 afternoon, but, as always, thank you for your work.

14           CHAIRPERSON LUDERER: Thank you very much.

15           Dr. Alexeeff, you had a comment as well.

16           OEHHA DIRECTOR ALEXEEFF: Yeah. With regard to  
17 the website, I just wanted to make a comment. So OEHHA is  
18 on Facebook and Twitter. And so we have been sending out  
19 Twitter feeds like regarding this program, so we'll be  
20 continuing that. And it has -- appears to bring in some  
21 additional people, so we'll continue that process as well.

22           CHAIRPERSON LUDERER: All right. So now I'd like  
23 to introduce again Dr. Myrto Petreas from the Department  
24 of Toxic Substances Control, who's going to be giving us  
25 an update on preliminary results from the California

1 Teachers Study.

2 Dr. Petreas.

3 (Thereupon an overhead presentation was  
4 presented as follows.)

5 DR. PETREAS: Good morning, everyone.

6 So Dr. Lipsett was saying our laboratory has  
7 completed its assignments for the 2 major studies, the FOX  
8 and the MIEEP. Now we're working on BEST, but mostly  
9 we're working on the Teachers study.

10 --o0o--

11 DR. PETREAS: So today I'm going to spend some  
12 time and explain to you what we do on the Teachers Study,  
13 what it is. And before I start I want to acknowledge Dr.  
14 Peggy Reynolds, who is the principal investigator of the  
15 study, and who -- with the Cancer Prevention Institute of  
16 California and together with this presentation. So I  
17 acknowledge their contributions.

18 CHAIRPERSON LUDERER: Can you speak a little more  
19 directly into the microphone.

20 DR. PETREAS: Can you hear me now?

21 Sorry.

22 CHAIRPERSON LUDERER: Move closer.

23 DR. PETREAS: I am too close or should I come  
24 close?

25 CHAIRPERSON LUDERER: You need to move maybe a

1 little closer.

2 DR. PETREAS: All right. So as I said, I wanted  
3 to acknowledge my principal investigator, Dr. Peggy  
4 Reynolds from the Cancer Prevention Institute of  
5 California who helped me put these slides together.

6 --o0o--

7 DR. PETREAS: So what I'm going to do today is  
8 talk to you about the California Teachers Study, which is  
9 the main major study, and then segue way into our substudy  
10 looking into persistent organic pollutants and breast  
11 cancer. This is a study we're working with Peggy Reynolds  
12 and her staff. So for that substudy, I want to describe  
13 what the study aims are and what protocols we used, what  
14 chemicals we're planning to analyze, and some challenges  
15 we found and how we overcame them. And finally, we'll  
16 give you some preliminary results.

17 --o0o--

18 DR. PETREAS: But, first of all, the main  
19 California Teachers Study. This is a study that started  
20 in 1995. It's a cohort of female members of State  
21 Teachers' Retirement System, so it's teachers and also  
22 employees of schools. There are over 130,000 women that  
23 participate in this study. Every year, they get  
24 contacted.

25 Periodically, they have questionnaires on various

1 issues. And there's always a linking with the Cancer  
2 Registry, hospitalization, and other -- and mortality  
3 databases. So that's the major study.

4 All residences at the time of the inception of  
5 the study have been geocoded. So this started initially  
6 by Prop 99 funds, but subsequently has been funded by  
7 Federal and State research grants. So that's the major --  
8 the main study.

9 --o0o--

10 DR. PETREAS: And this is a map of California  
11 showing you in 1995 when they first started, these are the  
12 residences of the participants. So it really follows the  
13 population distribution of the State.

14 --o0o--

15 DR. PETREAS: Now, from now on, I'll be talking  
16 about our substudy, which is looking at persistent organic  
17 pollutants in breast cancer using the original cohort.

18 --o0o--

19 DR. PETREAS: So this is led by Dr. Reynolds from  
20 the Cancer Prevention Institute of California, and her  
21 staff are shown here. And collaborating are our  
22 laboratory, City of Hope and UC Irvine. This has been  
23 funded by the California Breast Cancer Research Program.  
24 And it's currently ongoing.

25 --o0o--

1 DR. PETREAS: So what are our specific aims.

2 Number 1 was to screen for major predictors of  
3 PBDEs. And we have questions about behavioral factors,  
4 sociodemographic disparities and a lot of indoor and  
5 outdoor factors.

6 The second aim is to assess persistent organic  
7 pollutants as risk factors for breast cancer. And for  
8 that we use the case-cohort design from the main study.

9 --o0o--

10 DR. PETREAS: More specifically, for the first  
11 aim, we targeted 360 participants who are not cases -- are  
12 not known to have cancer, breast cancer, and tried to  
13 oversample for women of color and also rural residence.  
14 So the target is to have 90 white, 90 black, 90 Hispanic,  
15 and 90 Asian Pacific-Islanders for that part of the study.

16 Data collection, meaning the blood samples,  
17 questionnaires, and GIS data of the residences are  
18 collected, started 2011 and we completed in 2013. So this  
19 is -- these are the samples that come to our laboratory.

20 --o0o--

21 DR. PETREAS: For the second aim, we use a  
22 case-cohort design, and targeting a thousand cases and a  
23 thousand non-cases basically from the main study. And  
24 diagnoses were from 2007 to 2012. Data collection  
25 overlaps from the same of the previous aim, so it's again

1 2011, 2013. And again, we're getting the blood samples  
2 that we analyzed, questionnaires, GIS data.

3 And for that aim, we're using genotyping data  
4 that's already funded by the parent study.

5 --o0o--

6 DR. PETREAS: In the laboratory, we measured the  
7 chemicals shown here, PBDEs, 19 congeners, 12  
8 perfluorinated compounds, 15 PCBs, 7 chlorinated  
9 pesticides. And we send to a clinical laboratory  
10 specimens for measuring cholesterol triglycerides to  
11 calculate lipids and also thyroid hormones.

12 --o0o--

13 DR. PETREAS: Now, one problem we had that the  
14 protocol for our Teachers Study was the phlebotomists were  
15 to go and visit participants at home or at work. So it  
16 was scattering throughout the State, making appointments.  
17 So it was very difficult to use the standard protocol of  
18 collecting -- drawing the blood and then leaving it to  
19 clot, centrifuging within a few years, freezing, shipping,  
20 and so forth.

21 So we designed a pilot study -- and I had briefly  
22 mentioned this before, but I'll say it again here -- to  
23 test alternative sample processing. And this would link  
24 with the questions we discussed with Dr. Lipsett before.

25 So the basic questions we wanted to address with



1 volunteers who gave 6 tubes of blood, 3 red tops, and 3  
2 serum separator tubes, and we processed them at different  
3 times, some of them are 2 hours, others are at 48 hours.

4           They were stored for 1 month, and then processed,  
5 analyzed, but they're still stored for -- our second  
6 question which is after 2 years -- so in February, we will  
7 complete 2 years of freezer storage and we'll repeat the  
8 same analysis on those stored samples.

9           So the first part, which were frozen only for 1  
10 month were thawed and analyzed for persistent organics,  
11 pesticides PCBs, PBDEs, perfluorinated and new BFRs and  
12 also lipids.

13           So what we found was that there was no difference  
14 between using the 48-hour processing using serum separator  
15 tubes and the standard method. So that was great news,  
16 because this means that we can use the serum separator  
17 tubes, wait 48 hours, and analyze for persistent organics  
18 and lipids in our Teachers Study, but also as we can talk  
19 for maybe for future studies.

20           We will assess the effects of storing for 2 years  
21 after February 2013, so we'll repeat the same analysis of  
22 the stored samples. So we thought that was a very good  
23 set of data -- of information, because this way we have  
24 the ability to use these type of tubes.

25           So if I can say parenthetically here, so we have

1 the other request, can we use these other tubes that the  
2 genetic disease program uses and we got hold of the tubes  
3 and talked with the people who used them, we talked with  
4 the vendor, and we're very happy to find out just  
5 yesterday that both tubes are exactly the same, even  
6 though they look different. They have the same type of  
7 coating and the same type of gel.

8 So we're more confident, at least from the tube  
9 itself, there won't be any problem. But as we speak,  
10 we're testing with some bovine serum trying to see if  
11 there's any artifact there.

12 CHAIRPERSON LUDERER: Can I just -- I just wanted  
13 to interject a quick question. With the 48-hour serum  
14 separator tube, is that 48 hours at room temperature or  
15 are they stored cold?

16 DR. PETREAS: Room temperature, yes.

17 So what we plan to do with the perinatal study  
18 tubes is eventually do something similar, but before then,  
19 as Dr. Lipsett was saying, we want to visit the lab in  
20 Richmond. The DPH lab in Richmond also processes these  
21 types of tubes, so they -- we agree that we will visit and  
22 see how they are treated in that lab.

23 The fact that they're sitting open on the  
24 autosampler rack for so many years is a little, you  
25 know -- We feel uncomfortable about that, because for

1 those of you who have visited our lab, we have this super  
2 clean separate air system, sticky mats so no dust is taken  
3 into the lab.

4           Whereas, for their purpose, they don't need that.  
5 So there's a little concern about how every single lab  
6 that does that -- and it's only just one lab that we can  
7 negotiate, but all of them were they going to change the  
8 procedure, so -- but we'll know.

9           So we have some thinking in how to test different  
10 questions. So what Dr. Bradman said and others have --  
11 we're taking notes on how to assess a future pilot.

12           Okay Back to the Teachers Study now.

13                           --o0o--

14           DR. PETREAS: So what makes this study very  
15 interesting is because it's large. It's a well defined  
16 cohort, very diverse geography Statewise. We have a lot  
17 of questionnaire information and GIS data. And also, we  
18 can independently assess outcomes through linking with the  
19 Cancer Registry. We use state-of-the-art techniques in  
20 the lab and we also have statisticians to do some pretty  
21 interesting data analysis.

22                           --o0o--

23           DR. PETREAS: So where are we? Results.

24           So as of last week, we had received over 1,500  
25 samples. And this includes cases and non-cases. These

1 have been collected starting in May of 2011, and up to  
2 this past October. We received several shipments from  
3 different places. Everything with chain of custody and  
4 everything has gone well so far.

5           So as we receive samples, the first thing we do  
6 after we log them in is to do the aliquoting. Aliquoting  
7 is quite intensive and complex and very critical, because  
8 that's where you open -- you thaw the samples, and you  
9 open them and you dispense the right amount in different  
10 vials correctly labeled, and then you store them frozen  
11 until each type of analysis needs them to come out of the  
12 freezer.

13           So, so far, we have aliquoted 638 samples, have  
14 been shipped for lipids, and we have received the results  
15 for the lipids of those. We have results for 279 of  
16 perfluorinated compounds and 80 samples for PBDEs.

17           And when I say we have these, these are the ones  
18 that have gone through all the QC, and the reviews have  
19 been communicated back to our collaborators. But we  
20 continue analyzing as we speak, so at the same time, we --  
21 in fact, we have done more than double the number already  
22 of what I'm showing you there. But they haven't gone  
23 through our QC, so I can't talk about them yet, because we  
24 haven't cleared them. But we continue working full speed  
25 on those.

1           So from now on I'll be talking only about the 279  
2 samples for PFCs. And these are the results I'm going to  
3 show.

4                               --o0o--

5           DR. PETREAS: First of all, these 279 came from  
6 these different locations. So again, wherever most of the  
7 population density is, that's where these come. And as we  
8 add samples, we'll do more dots on the map.

9                               --o0o--

10          DR. PETREAS: The characteristics of age, as you  
11 can see here, this is a very old cohort. I mean the range  
12 is from 40 to 94 years old. And the mean age is 68. In  
13 terms of race and ethnicity, it's mostly white, but we  
14 have every other group there. And we will be updating  
15 this as we acquire more samples and we analyze them. So  
16 these are only the ones for which I'm going to present  
17 data now.

18                               --o0o--

19          DR. PETREAS: So these are our first results, and  
20 these are in nanograms per milliliter. The main  
21 components here are the PFOS, PFOA, and so forth. The  
22 first row shows the detection frequency. So you can see  
23 most everyone has -- these are the major components. So  
24 these are measured in almost everyone.

25           We're showing a range and median, a geometric

1 mean, and for comparison, we're showing the -- from the  
2 NHANES, the geometric mean for females over 20 years old.  
3 Now, remember that NHANES data, the latest one, are from  
4 2009/2010. Our data were collected approximately 2 years  
5 later.

6           So when we make this comparison, keep in mind  
7 that all these PFCs -- must of these PFCs are on a  
8 decline. So NHANES has shown the data over the years are  
9 dropping. So we should see lower than NHANES. And  
10 usually we are, with some exceptions, and I'm going to  
11 talk about them.

12           So the exception actually is the hexa, PFHxS.  
13 This we are higher than NHANES. This also is dropping,  
14 but, for some reason, you know, we have high value here.  
15 The other odd piece of information is that nona, PFNa, is  
16 on the rise in NHANES, and from other data we have. So  
17 data should be higher and yet they're not.

18           So, of course, we only have 279 samples so far.  
19 We'll collect more. Now, these are older women. They're  
20 mostly white older women. Some of them have cancer. So  
21 we're not sure if -- we haven't looked at any case-control  
22 analysis yet. So just an update on how -- when we compare  
23 with NHANES, it's not really a direct comparison yet. But  
24 as we get more numbers and after we do some group  
25 analysis, we can have more information.

1                   --o0o--

2           DR. PETREAS:  So the other piece of data for the  
3 lesser -- lower values for the other minor PFCs, I would  
4 say, some are -- detection frequency is as little as  
5 20-something percent.

6           Of these, NHANES doesn't report any summary  
7 statistics or geometric means, because of the low  
8 detection frequency.  We can only compare with one -- only  
9 for one, the method PFOSA.  And again, we have some  
10 differences and we're not sure yet, but -- so this is the  
11 first batch of data we can share with you.  And they will  
12 be uploaded on the website along with newer data as they  
13 become available and added to these tables.

14           But I would like to hear if you have any  
15 questions on how to do it better here.

16                   --o0o--

17           DR. PETREAS:  So where we are now, so we have to  
18 complete the pilot study by evaluating the freezing for 2  
19 years.  That will start in February.  And recruitment for  
20 this study continues, and it will end by the end of 2013.  
21 So we have a whole year to recruit more and collect more  
22 samples.

23           And, of course, we continue sample analysis here.  
24 And the data would be -- as aggregates would be posted to  
25 the website.

1                   --o0o--

2           DR. PETREAS:  So how this fits with the  
3  Biomonitoring California Program, it helps because it's  
4  statewide recruitment, and it's a special demographic,  
5  which hasn't been captured so far.  So it's mostly older  
6  women.  We have young mothers and babies.  We have  
7  firefighters, but this is the mostly older women.

8           It's a collaborative effort with the Cancer  
9  Prevention Institute of California.  It's partially funded  
10 by the Breast Cancer Research Program.

11          So what the benefits we have is that with the  
12 pilot, we found that using this new serum separator tube  
13 allows more flexibility in the field, so it can be used in  
14 other studies from biomonitoring and others.

15          I already said that this data will complement  
16 data from the other studies, expanding our database.  And  
17 at least, in my mind, this is a very good model for future  
18 collaborations to sustain the program, where somebody else  
19 also does a lot of the field work, has a hypothesis, and  
20 we partner with them to generate more data for them and  
21 for us.

22          So with this, do you have any questions?

23          CHAIRPERSON LUDERER:  Thank you very much, Dr.  
24 Petreas.  That was very exciting to hear those results and  
25 see all the progress that's been made.

1 Dr. McKone.

2 PANEL MEMBER MCKONE: Very interesting study.

3 I have a somewhat specific questions on slides 18  
4 and 19, particularly 18, where you have NHANES information  
5 relative to this population.

6 I mean, although it's interesting to compare it  
7 at the geometric mean, there are -- I'm wondering how much  
8 more of an analysis of difference you might have done?  
9 For example, I would really like to see the full  
10 probability plot of both populations, because sometimes  
11 you -- things are close in the middle and then the curves.  
12 The trend line is very important across the population,  
13 because you can have some -- you know, one population  
14 where the median is about the same, but you have a lot of  
15 outliers and then the curves go different.

16 I guess the other thing would be a more, again  
17 just -- this is kind of a screening comparison where you  
18 just look at the 2 medians, but there are ways to test the  
19 hypothesis that these distributions are indeed different  
20 or are indeed the same. And I think it would be really  
21 interesting to do that to get a little more sense about --

22 DR. PETREAS: Oh, I agree with you, but this is  
23 very preliminary, so -- and we didn't want even to give  
24 them a mean and standard deviation, because -- so  
25 percentiles is not at this stage. So once we have more

1 samples, we'll do that. And it is a dialogue we have with  
2 the Program and how should we post data on the website.  
3 So for this time, we think let's give just averages and --

4 PANEL MEMBER MCKONE: Although with 279 -- this  
5 is the 279?

6 DR. PETREAS: Yes.

7 PANEL MEMBER MCKONE: Yeah, you have enough to do  
8 some pretty good statistical -- I mean, bring a  
9 statistician in to start testing hypotheses.

10 DR. PETREAS: We have Dr. Nelson as a  
11 statistician. And again, these are cases and non-cases  
12 together. So we haven't done any epidemiological analysis  
13 or anything on that. So this is just aggregate results  
14 for this program.

15 CHAIRPERSON LUDERER: Dr. Quint.

16 PANEL MEMBER QUINT: Again. This is Julia Quint.

17 Another impressive accomplishment by the  
18 Biomonitoring Program. Thank you for sharing the  
19 preliminary results. I was just wondering, what are your  
20 chances of recruiting the numbers that you are -- would  
21 like to recruit, the oversampling for the different ethnic  
22 groups that you mentioned in the first slide, because now  
23 it's predominantly white, is that correct?

24 So I was wondering if you had any sense. I know  
25 you're recruiting the ongoing.

1 DR. PETREAS: You're right. Remember, those 360,  
2 90, 90, 90 from each group were supposed to be non-cases.  
3 So there are -- the sampling is a little complex. I can  
4 tell you from what I hear that recruiting, as usual,  
5 doesn't go that well, so we lowered their expectations.  
6 So rather than having 1,300 cases, 1,300 non-cases, now  
7 we're talking about 1,000 each. In proportion, I think  
8 this 90, 90 may become 75, 75. So we're -- yeah. Anyone  
9 who has done recruiting knows it's not easy.

10 PANEL MEMBER QUINT: Right.

11 CHAIRPERSON LUDERER: Dr. Wilson.

12 PANEL MEMBER WILSON: Thank you. Mike Wilson.

13 And thank you, Dr. Petreas. And just echoing the  
14 Panel's appreciation for the difficulty of this work. And  
15 carrying it through from recruitment all the way out to  
16 analysis and providing results, it's actually pretty  
17 astounding.

18 And I think, you know, my question, and maybe  
19 it's not something that could be answered at this point,  
20 but it's echoing Dr. McKone's question about the -- you  
21 know, what the distribution looks like and if there -- and  
22 the variability, and if it's -- and maybe it's premature,  
23 but if there -- if you have highly exposed or, you know,  
24 high levels in subgroups and so forth. And I'd be very  
25 interested in hearing about that at some point, but I

1 guess what you're saying is you don't feel that you have  
2 the data yet to do that, is that correct?

3 DR. PETREAS: Correct. Hopefully, next time,  
4 we'll have more and we can have more information to share.

5 PANEL MEMBER WILSON: Yeah. Okay. All right.  
6 Thank you very much.

7 CHAIRPERSON LUDERER: Dr. Alexeeff.

8 OEHHA DIRECTOR ALEXEEFF: Yeah. Hi, Myrto. I  
9 was just wondering just following up on those 2 questions,  
10 do the NHANES data provide the distribution of the  
11 results? Is that something that's --

12 DR. PETREAS: Yes, if the detection frequency is  
13 high enough.

14 CHAIRPERSON LUDERER: I had another question too  
15 about the blood samples. So you mentioned that these  
16 participants were recruited initially in 1995, and the  
17 blood samples that you presented the results from were  
18 from 2011-12, is that right?

19 DR. PETREAS: Yes.

20 CHAIRPERSON LUDERER: Would it be possible, are  
21 there archived blood samples where you could look at  
22 changes over time in these participants? Is that a  
23 possibility in this group?

24 DR. PETREAS: I believe through different  
25 research grants, there have been many studies on this

1 cohort. I can't -- we don't have access -- I don't have  
2 access to that. It wasn't done in our lab, and I doubt it  
3 will have these type of chemicals, but if there's  
4 anything, I mean, the Teachers Study has a lot of -- a  
5 wealth of information. So I'm sure in the analysis, they  
6 may want to go back and compare something, but that's not  
7 part of what we do.

8 CHAIRPERSON LUDERER: Thank you. Dr. Bradman,  
9 did you have a comment?

10 PANEL MEMBER BRADMAN: Just a quick question.  
11 For the NHANES comparison, is there a larger enough N  
12 within NHANES for women over 40 years old, so the  
13 comparisons can be within approximately similar age  
14 groups?

15 DR. PETREAS: Actually, what the NHANES shows to  
16 everyone is females, but I believe somebody has found this  
17 over 20 years and --

18 PANEL MEMBER BRADMAN: I think you can actually  
19 download the NHANES data.

20 DR. PETREAS: I got the -- no, I only got from  
21 the -- I was looking at the September update of the 4th  
22 report, but Lauren Joe, I guess -- you want to come up?

23 MS. JOE: Dr. Bradman, you're correct. Yeah, we  
24 downloaded --

25 CHAIRPERSON LUDERER: Can you identify.

1 MS. JOE: Oh, my name is Lauren Joe. I'm an  
2 epidemiologist with the Biomonitoring Program.

3 MS. JOE: The NHANES data is downloadable. And  
4 for the females over the age of 20, we used that data and  
5 calculated for the geometric means for this group. I  
6 didn't look to see if the over the age of 40 would be --  
7 would have enough N to produce these numbers, but that's  
8 certainly the best comparison group. And we would look  
9 into that for this study and for the other ones that we're  
10 doing to make sure that the age group and the gender are  
11 the best fit for the comparison.

12 PANEL MEMBER BRADMAN: Right. Okay. And this is  
13 a related question. If you remember, the paper from Ami  
14 Zota, where they were able to work with the NHANES  
15 database and breakout California specific data. It seems  
16 like this might be an opportunity to do that as well for  
17 an older age group that matches this as California  
18 specific particularly for things that we think may be  
19 higher in California like flame retardants.

20 MS. JOE: That would be great if we could get  
21 that data. I think it's -- you know, we have to request  
22 it and things.

23 PANEL MEMBER BRADMAN: It's challenging.

24 MS. JOE: You're familiar with that. It's  
25 challenging, but this is certainly a goal.

1 DR. PETREAS: I would say that would be after we  
2 have more data from our study.

3 CHAIRPERSON LUDERER: Okay. I think this would  
4 be a good time to see if we have any public comments.

5 MS. DUNN: No public comments.

6 CHAIRPERSON LUDERER: Okay. Do we have any  
7 further discussion or questions from Panel members?

8 Dr. McKone.

9 PANEL MEMBER MCKONE: Just probably a comment. I  
10 would just -- one of the things about NHANES is that the  
11 way it's structured is it's not a random sample  
12 geographically, but it has to capture certain regions of  
13 the country and it has to capture certain population  
14 types.

15 The way they do it is they set up, I think it's  
16 like, 15 locations or something. That's all they -- they  
17 don't have a large number of locations.

18 And when they come to California, they way  
19 oversample the State, not because they're trying to  
20 oversample, but because they meet so many of their  
21 criteria in California, and they do not have to be  
22 balanced across the State.

23 Now, they won't -- I mean, we can't pull that  
24 out -- you can't pull that information out unless you go  
25 in and go to one of their facilities and do the blind,

1 sort of, analysis. So they give you all the California  
2 data. It's stripped off and you can organize it. So this  
3 is -- that's what, I think, Ami did.

4 So it's possible to do this and really narrow it  
5 down. And it's likely that the N will be relatively  
6 larger than you would expect from just -- you know, it's  
7 going to be more than 10 percent -- California is about 10  
8 percent of the country, but there are more than 10 percent  
9 of the members of NHANES that are from California, because  
10 of the way they sample, and set up their procedures.

11 CHAIRPERSON LUDERER: Sara, did you have  
12 anything?

13 MS. HOOVER: (Shakes head.)

14 CHAIRPERSON LUDERER: All right. If we have no  
15 further discussion from the Panel, I'd like to thank Dr.  
16 Petreas again, and then move on to the next talk.

17 So the next talk will be presented by Dr. Jianwen  
18 She from the California Department of Public Health. And  
19 he's going to be giving us an update on the Environmental  
20 Health Laboratory work and some preliminary results from  
21 some environmental phenols and polycyclic aromatic  
22 hydrocarbons.

23 Dr. She.

24 (Thereupon an overhead presentation was  
25 presented as follows.)

1 DR. SHE: Good morning, and welcome members of  
2 the Panel and the audience. I'm Dr. Jianwen She, Chief of  
3 the Biochemistry Section of the Environmental Health  
4 Laboratory Branch. Today, I will update -- I will  
5 provide an update and the preliminary results for some  
6 environmental phenols and the hydroxy-PAHs.

7 --o0o--

8 DR. SHE: I'm going to update you on recent staff  
9 changes, methods in production, proficiency test results,  
10 project sample analysis, and the results, finally, our  
11 future work.

12 --o0o--

13 DR. SHE: As Dr. Lipsett already mentioned, in  
14 September we hired Ying Li as an Environmental Scientist  
15 II. She is currently working on our OP metabolite method.  
16 Ying have a lot of experience pharmaceutical industry and  
17 analytical chemistry.

18 --o0o--

19 DR. SHE: Last SGP meetings, we shared with you  
20 the 7 methods in production with over 40 analytes being  
21 measured.

22 --o0o--

23 DR. SHE: Sorry.

24 Since July, we have 2 more methods in production,  
25 which are metals in urine with arsenic speciation in

1 urine. At the present, EHL has capability to measure over  
2 50 analytes in urine, and the blood.

3 --o0o--

4 DR. SHE: As I mentioned at the July meeting, we  
5 participated in the CDC PT programs. We'd like to report  
6 the results.

7 Recently, we received the result for the 2 CDC PT  
8 programs. We are enrolled in the biomonitoring  
9 non-persistent organic PT and the PT in arsenic  
10 speciation. PT program will lay the foundation for the  
11 data comparability between different laboratories. For  
12 the organic programs, we submitted results for our  
13 phthalates, OP specific metabolite, environmental phenols,  
14 and the hydroxy-PAH totaling 29 analytes.

15 This CDC PT program is the first of its kind.  
16 According to CDC's grading criteria, we successfully  
17 passed 21 out of 29 analytes. CDC's criteria may be  
18 considered more rigorous than other PT programs we  
19 participate in. Nevertheless, we have determined why the  
20 8 analytes did not pass according to CDC's criteria.

21 We also submitted results for our arsenic  
22 speciation in urine method. We submitted the results for  
23 6 analytes, and we are 100 percent proficient.

24 We are expecting another round of CDC PT samples  
25 this month, and continue to use the CDC PT program and

1 others as a tool to assess and improve our laboratory  
2 method.

3 --o0o--

4 DR. SHE: I'd like to talk a little bit about our  
5 project status. So for our biomonitoring project, for the  
6 MIEEP; sample analysis is complete for all organic  
7 analytes in urine. Since the July SGP meeting,  
8 hydroxy-PAH data was complete and submitted to EHIB. We  
9 are working on releasing the DAPs data as well. We have  
10 some technical challenge on the DAP method, by the way.  
11 The metals and arsenic speciation analyses are completed  
12 and are currently under review.

13 In the bottom part of this slide, you can see for  
14 the FOX project, sample analysis is also completed for all  
15 organic analytes in urine and are currently under review.  
16 The metals in arsenic speciation analysis is currently in  
17 progress. We anticipate and submit all FOX data results  
18 to EHIB by spring 2013.

19 --o0o--

20 DR. SHE: For the other project, the BEST  
21 project, participant recruitment is complete. We received  
22 110 blood samples and 109 urine samples. Blood metal  
23 samples analysis is complete and the results have been  
24 submitted to EHIB.

25 In the next couple of slides, I will present the

1 preliminary environmental phenol results for the MIEEP  
2 project, and also for the hydroxy-PAHs result for the WHE  
3 project.

4           As you may know, MIEEP is a collaboration with  
5 UCSF and UC Berkeley. Convenience samples were from San  
6 Francisco General Hospital. We analyzed the urine samples  
7 for the 89 mothers enrolled. You may be aware in previous  
8 presentations that it was 91 samples, because 2  
9 participants provided duplicate samples.

10                   --o0o--

11           DR. SHE: This table shows the environmental  
12 phenols data including method detection limit, sample  
13 detection frequency, geometric mean, and the 95th  
14 percentile values.

15           Our method can detect of very low -- I said ppb  
16 levels -- a fraction of ppb levels for the analytes, which  
17 is comparable to what CDC method can do.

18           These 3 analytes were measurable in almost all  
19 the MIEEP participant's urine samples. You can see the  
20 detection frequency is about 90 percent or around 90  
21 percent.

22                   --o0o--

23           DR. SHE: Compared to the pregnant women in the  
24 2005 to 2006 NHANES survey, we found 129 pregnant women's  
25 data from the NHANES survey for the year 2005 to 2006.

1 MIEEP women seems to have lower geometric mean values for  
2 BPA, BP-3, and triclosan. Further data analysis is  
3 underway to verify this observation.

4 This slide shows basically 95th percentile, which  
5 may mean our data, in certain cases, have a wider  
6 variation range, but it is very limited data. I cannot  
7 talk too much.

8 --o0o--

9 DR. SHE: And the next study is a collaboration  
10 UC Irvine and the EHL are working together to analyze  
11 Women's Health and the Environment urine samples for  
12 hydroxy-PAH. Dr. Ulrike Luderer is the PI for this study.

13 For this collaboration, the WHE study question is  
14 focused on looking at the urinary PAH variability in  
15 relation to ovarian function. Fifty-one Orange County  
16 women were involved and the specimen was collected from  
17 September 2010 to March 2012. The women had to meet  
18 certain eligibility requirements to participate; for  
19 example, not using hormonal contraception, not surgically  
20 sterile or diagnosed infertility and not pregnant.

21 --o0o--

22 DR. SHE: At the initial visit a blood and urine  
23 sample were taken from each of the participants. Daily,  
24 for 6 menstrual cycles, urine was monitored for hormones  
25 using a microelectronic dipstick. Participants also kept

1 a daily diary documenting illness, medication, alcohol  
2 intake, and et cetera.

3           The monthly urine collection was on the 10th day  
4 of the cycle and was the first morning urine samples.  
5 Participants stored these samples in their freezer at home  
6 for up to 2 months, and then the sample was transferred to  
7 UCI lab, where it was stored at minus 80 degrees.

8                           --o0o--

9           DR. SHE: EHL so far received 150 urine samples  
10 from UCI for hydroxy-PAH analysis. All samples are from  
11 the monthly collection, the 10th day of the menstrual  
12 cycle. This table represents the data from the first 51  
13 samples received and analyzed. We have included method  
14 detection limits, detection frequency, and the range for  
15 each analyte we measured.

16                           --o0o--

17           DR. SHE: For the future, we will complete the  
18 FOX analysis and the data review. We will analyze pilot  
19 BEST sample and the WHE samples. We are also preparing  
20 for the next biomonitoring project, which is called  
21 Expanded BEST, by pre-screening urine and the blood  
22 collection containers for various analytes to make sure  
23 our device is contaminant free.

24           In September, our analyst received the in-house  
25 train for automated sample preparation. They are working

1 on developing a method for this.

2           Lastly, we are cross-training our analysts on  
3 various methods to cover -- to maintain our capability and  
4 the capacity in case staff may turnover.

5           Thank you.

6           CHAIRPERSON LUDERER: Thank you, Dr. She. It's  
7 wonderful to see the increasing capability and capacity of  
8 the laboratory and to have the -- be able to share data  
9 with us today. I'm sure all the other Panel members  
10 agree.

11           Do Panel members have any questions or comments  
12 at this point?

13           Dr. McKone.

14           PANEL MEMBER MCKONE: Yeah. Again, it's really  
15 related to -- I know you're still doing preliminary  
16 analyses, but I guess my question is when you calculate  
17 percentiles, do you do that from the ranks or do you  
18 actually -- because the problem is you're going to have  
19 non-detects, and you can do some really strange things if  
20 you start treating them as half a detection or some other  
21 things.

22           So often the best way to treat it is not worry  
23 about the value but the rank, right? So the median -- so  
24 that's why I asked, because I like to see the median,  
25 because that's the middle point of the sample, and it gets

1 right around this problem. Hopefully the median point is  
2 above the limit of detection. It's not. On a lot of  
3 NHANES, the median is below, so it's still all -- anyway,  
4 so could you --

5 DR. SHE: You are right. For this -- for the  
6 environmental phenols, our detection frequency is about 88  
7 percent. So when we calculate the geometric means, if  
8 that's -- in people if the detection frequency below 60  
9 percent is harder to provider data. But you are right,  
10 median can tell how many are below detection, you still  
11 have median values, so I agree with that. We should  
12 provide it.

13 And in our draft slide, I have the median values.  
14 And later on, I have some comment to count on the data  
15 sheet. I deleted it, but in general the median value is  
16 very closed to the geometric mean.

17 PANEL MEMBER MCKONE: Okay. So, again, I'd just  
18 sort of recommend what I do is that use probability plots,  
19 because they are rank-based, so you can actually see --  
20 right. So even if you have, like, 20 percent of your  
21 samples are at -- below the limit of detection, the 21st  
22 sample, or the 21st percentile, is a real number and it's  
23 still the 21st percentile. So you just start -- right,  
24 there's these ways to do it by rank, so you don't get this  
25 artifact of trying to give value to something below the

1 detection.

2           Again, this is getting a bit technical, but I

3 think, you know, it's very important to do this.

4 Otherwise, you can add some really odd biases to the mean

5 if they're calculated by assigning any value to something

6 below detection.

7           DR. SHE: Yeah. That's a very good point. We

8 will -- when we do the complete data -- further data

9 analysis, we definitely take your consideration -- take

10 your concern into the consideration.

11           CHAIRPERSON LUDERER: Any other questions or

12 comments from Panel members, at this time?

13           Then, if not, this might be a good time for our

14 public comments if we have any.

15           MS. DUNN: We do not have any public comments.

16           CHAIRPERSON LUDERER: All right. We have time

17 for additional discussion or questions.

18           Dr. Quint.

19           PANEL MEMBER QUINT: Julia Quint.

20           I just had a question. You have a number -- I'm

21 sure you've explained this before, but I've forgotten.

22 You have a number of review steps when you were showing

23 the status of the various determinations, peer review,

24 supervisor review, you know, various reviews. So this is

25 just internal QA/QC procedures within the laboratory.

1 Could you say a little bit more about what's going on with  
2 the various -- at the bottom of your slide here.

3 DR. SHE: Yeah. Actually, that's -- all of this  
4 review kind of slowed down our process. You can see for  
5 the FOX and for the MIEEP, analysis is finished, but some  
6 of them are under the peer review, some of them are on a  
7 QA review, and a supervisory review.

8 So the process for peer review is basically the  
9 peer chemist we will check -- go to the instrument and  
10 make sure the analyst conduct the analysis and use the  
11 right parameter, make sure, and then when we look at the  
12 peak, we -- how big is the peak, and where the location of  
13 the peak, did the chemist identify the peak correctly,  
14 make sure confirmation peaks information still available.  
15 So we have quantitation peaks. We have confirmation  
16 peaks. And they also have a certain relationship. And  
17 all this kind of review will be done by the peer review.

18 And then in the QC reviews, they checked more and  
19 said okay, did the laboratory have contaminations of how  
20 the blank is running, how your duplicate samples are  
21 running, how your blind and your control sample, quality  
22 control samples are running?

23 So with this kind of a review and then transfer  
24 the provider. The provider more on the project levels.  
25 So I think the -- I will say a peer review on each sample

1 levels and the peer review made on one levels, a batch  
2 levels, and the quality provides on the project level to  
3 look for, is this a specific batch of run have a  
4 significant difference than previous batch, what's the  
5 possible reason?

6 So this process is tedious, and then slowing. We  
7 try to at least some mechanic part we can automate it. We  
8 are working it. So I don't know if I answer your  
9 question.

10 PANEL MEMBER QUINT: No, very well. You answered  
11 it very well.

12 So now you're -- in addition to that, you're  
13 sending samples to CDC and participating in another level  
14 of review with the samples you sent to CDC, is that  
15 correct?

16 DR. SHE: Yes. Actually, at the beginning, we  
17 send some samples to CDC, but CDC is very busy to work on,  
18 and so we didn't get the result back. That's a long time  
19 ago. But right now, CDC send us samples. They send us PT  
20 samples, which we called external quality control samples,  
21 so -- which is provided to us. Right now, they send us,  
22 for example, hydroxy-PAH. They send us 5 different level  
23 of samples. And then they require you to run the sample  
24 in the same exact way as you run your unknown samples, and  
25 then you report back to them.

1 Under the CDC, one of the criteria, if you -- for  
2 this 5 samples, if you pass the 4 of them within the --  
3 they use this score. If this score is smaller than 3,  
4 then 4 of them with this score small than 3, you passed.  
5 If you fail the 2, you fail.

6 And for this -- because of this is the first kind  
7 of external PT with so many analytes the CDC tried to do,  
8 and, at this moment, I think very few labs' data can be  
9 used as a reference. CDC's own data is used as a  
10 reference to judge other lab at this moment, as far as I  
11 understand.

12 PANEL MEMBER QUINT: Thank you very much for  
13 indulging me. That's really -- I understand it now. It's  
14 very rigorous. Very good. Thank you.

15 DR. SHE: Thank you.

16 CHAIRPERSON LUDERER: Dr. Wilson.

17 PANEL MEMBER WILSON: Sure. Thank you, Dr. She.  
18 And it's just a quick question on the UC Irvine study, is  
19 that being funded by the CDC?

20 DR. SHE: Dr. Ulrike, you want to talk a little  
21 bit more about the bigger fund. I know you funded us with  
22 something, but I don't know where you get your funds.

23 CHAIRPERSON LUDERER: It was an NIH funded grant,  
24 funded by the National Institute of Environmental Health  
25 Sciences.

1 PANEL MEMBER WILSON: Oh, NIEHS.

2 CHAIRPERSON LUDERER: Yeah.

3 PANEL MEMBER WILSON: Okay, great. Thank you.

4 CHAIRPERSON LUDERER: All right. Well, it looks  
5 like we're ahead of schedule here for the morning.

6 Thank you very much, Dr. She.

7 So we can take lunch now or -- yes. Okay. And  
8 we had an hour, I think, allotted for lunch. Do you want  
9 to continue allotting an hour or come back --

10 MS. HOOVER: Hi. This is Sara Hoover. I would  
11 suggest we come back at 1.

12 CHAIRPERSON LUDERER: At 1. All right.  
13 Everyone, we'll see you at 1, and reconvene then.

14 Thank you.

15 (Off record: 11:51 AM)

16 (Thereupon a lunch break was taken.)

17

18

19

20

21

22

23

24

25



1 of p,p'-bisphenols.

2 --o0o--

3 DR. PLUMMER: And this is to facilitate your  
4 deliberation on whether or not to designate this group of  
5 chemicals.

6 All right. So in this side, I'd just like to  
7 review how chemicals can be considered for biomonitoring.  
8 They can be designated based on their inclusion in CDC's  
9 National Report on Human Exposure to Environmental  
10 Chemicals Program.

11 And then secondly, the Panel can also recommend  
12 that chemicals be added to the designated list for the  
13 Program.

14 --o0o--

15 DR. PLUMMER: Okay. So this slide provides some  
16 background just on how we've arrived at the development of  
17 this document, which I'm going to summarize with my  
18 presentation today, and just give a general review of it,  
19 which you've received in your packets in advance.

20 And so some activities that recently the Program  
21 has completed in past meetings include in March we  
22 presented a preliminary screening table on bisphenol A or  
23 BPA substitutes and structurally related compounds. And  
24 then at that meeting, the Panel provided feedback on  
25 suggestions for what next steps we could take regarding



1 the structure of BADGE, have epoxypropyl ether groups that  
2 replaced the hydroxy groups there.

3 --o0o--

4 DR. PLUMMER: And so a little bit of background  
5 and justification for why we think considering these  
6 chemicals as a group is reasonable, is that it will  
7 facilitate broad laboratory screening of these chemicals,  
8 which are structurally similar, as I showed in the last  
9 slide and also in -- there's some more example structures  
10 in the document that you received. And it will also allow  
11 the Program to look for emerging chemicals that are part  
12 of this general group, which we've talked about in the  
13 past, the laboratory screening kind of as an alternative  
14 approach to just strictly literature screening.

15 --o0o--

16 DR. PLUMMER: And then so I just want to remind  
17 the Panel and the audience that the criteria for -- what  
18 the criteria for designation for our chemical or chemical  
19 class are -- that are set forth in the enabling  
20 legislation, which is Senate Bill 1379.

21 And just as a reminder, these criteria are  
22 independent of each other and so they don't have to all be  
23 met for a chemical to be designated. And so I'll just go  
24 through them briefly.

25 The first one is exposure or potential exposure

1 to the public or to specific subgroups; known or suspected  
2 health effects based on peer-reviewed scientific studies;  
3 the need to assess the efficacy of public health actions  
4 to reduce exposure to the chemical; the availability of a  
5 biomonitoring analytical method with accuracy -- with  
6 adequate accuracy, precision, sensitivity, specificity,  
7 and speed; the availability of adequate biospecimen  
8 samples and consideration of the incremental analytical  
9 cost to perform biomonitoring analysis.

10 --o0o--

11 DR. PLUMMER: And so 7 chemicals are highlighted  
12 in the document that the Program has prepared for you.  
13 Many of these chemicals have production volumes that are  
14 reported at over 1 million pounds. And this is based on  
15 the 2006 inventory update reporting. So we don't know the  
16 more recent values for many of these yet.

17 Many of them have also been detected in consumer  
18 products and in dust, and some also have been detected in  
19 biomonitoring studies.

20 And then in regards to health effects, or known  
21 or suspected health effects, several of them have evidence  
22 of in vivo endocrine activity, and then even more have in  
23 vitro indications of endocrine activity.

24 And then lastly, TGSA is a chemical that was  
25 identified through the U.S. EPA's Design for the

1 Environment assessment for substitutes for thermal paper.  
2 And they identified TGSA as having the potential for  
3 formation of the epoxide reaction product, which is highly  
4 reactive and poses potential toxicity concerns. So that  
5 we've grouped under "other concerns".

6 --o0o--

7 DR. PLUMMER: So just to expand a little more on  
8 the different criteria. Major uses for many of these  
9 chemicals include as protective coatings that are used  
10 inside food and beverage containers, just for example, and  
11 then also BPS and TGSA have known or suspected use in cash  
12 register receipts. Actually, both of these are known to  
13 be used, I'll say that.

14 And then some of the other chemicals in this  
15 group are also used to make plastics or dental sealants.

16 --o0o--

17 DR. PLUMMER: But I just want to note also that  
18 many of these studies that determine potential use and  
19 exposure were conducted in Europe and Asia. And so really  
20 little is known about use patterns in California and the  
21 United States.

22 So this slide just goes a little more into  
23 details specifically what type of consumer products the  
24 chemicals highlighted in the document were detected in.  
25 And as you can see, many were detected in, you know, the



1 based on scientific -- or published scientific studies.  
2 And some of the chemicals in this group have evidence of  
3 both in vivo and in vitro endocrine activity. BPS, BPF,  
4 and BPAF had positive responses in the in vivo rodent  
5 uterotrophic assay, which indicates a potential for  
6 estrogenicity.

7 In vivo studies have reported that many  
8 bisphenols and also some diglycidyl ethers bind to hormone  
9 receptors, such as the estrogen receptor. They're  
10 active -- some are active in hormone receptor-mediated  
11 reported gene assays, and some have been found to increase  
12 cell proliferation in cell-line MCF7 breast cancer cells.

13 And then a few of these chemicals also have been  
14 shown to be related to adipogenesis in vitro. And this  
15 avenue came from a suggestion from the Panel to look into  
16 that potential health effect or potential for that health  
17 effect. And then also some of the chemicals had positive  
18 responses in vitro genotoxicity assays.

19 --o0o--

20 DR. PLUMMER: Okay. And so just to address  
21 another question that came up in our last meeting about  
22 the relevance of using in vitro and in vivo studies to  
23 look at the health effects, there's a group Yamasaki and  
24 colleagues have looked at the relationship between the in  
25 vivo uterotrophic assay in rodents and relationships

1 between estrogen receptor binding and estrogenic activity  
2 in reporter gene assays, and found, in many cases, that  
3 the activity correlated well between the in vivo and the  
4 in vitro studies.

5           And this was for a group of chemicals that were  
6 known estrogen receptor agonists, and then some additional  
7 chemicals as well. But they chose ones that had various  
8 receptor binding affinities to kind of cover the range of  
9 those. And I have those papers if you're interested in  
10 seeing them more.

11                   --o0o--

12           DR. PLUMMER: And so analytical methods. The  
13 program would need to adapt or develop analytical methods,  
14 based on, you know, existing methods that we have for  
15 other environmental phenols, but also using published  
16 methods from the literature. And the labs have identified  
17 that reference standards are available.

18           These chemicals most likely will be measured in  
19 urine, and due to their structural similarities could  
20 likely be bundled and run as a panel as is done with many  
21 other chemical groups.

22                   --o0o--

23           DR. PLUMMER: Okay. So one of the other criteria  
24 is looking at the need to assess efficacy of public health  
25 action to reduce exposure to specific chemicals. And we

1 do know that increasing use of some bisphenols is expected  
2 for certain applications, for example, TGSA in thermal  
3 paper. And the Design for the Environment Project from  
4 the U.S. EPA goes into a lot more detail on that.

5 And then for many others -- for many other  
6 chemicals included in this group, the extent of use and  
7 exposure really is unknown and more information is needed.

8 And biomonitoring this group of chemicals would  
9 help assess the extent and level of exposure in  
10 Californians.

11 --o0o--

12 DR. PLUMMER: And so lastly, we'll just summarize  
13 the options that we're suggesting for the Panel. You can  
14 consider recommending for designation p,p'-bisphenols and  
15 diglycidyl ethers of p,p'-bisphenols as a group or you  
16 could select to recommend designating one or more of the  
17 chemicals that are included in this document that you've  
18 received. You could recommend against designating or can  
19 postpone your recommendation.

20 And so with that, I'd like to take any clarifying  
21 questions that you might have.

22 CHAIRPERSON LUDERER: Are there any questions  
23 from the Panel?

24 Thank you for that excellent overview, Dr.  
25 Plummer.

1 Dr. Quint and then Dr. Wilson.

2 PANEL MEMBER QUINT: Thank you for that -- again  
3 for that excellent presentation. This is Julia Quint.  
4 You mentioned that New York urine -- let's see, I don't  
5 know which group of chemicals had been measured in the  
6 urine of some cohort in New York, and I wanted to know  
7 more about --

8 DR. PLUMMER: It was BPS.

9 PANEL MEMBER QUINT: I'm sorry?

10 DR. PLUMMER: BPS is the one.

11 PANEL MEMBER QUINT: Okay. BPS. Do you know  
12 more about who the urine came from? I mean, what sort of  
13 sample that was of New Yorkers.

14 DR. PLUMMER: I do, yeah. It was from -- mostly  
15 from people living in Albany.

16 PANEL MEMBER QUINT: So it was just -- yeah.

17 DR. PLUMMER: Yeah. And so the urine was  
18 collected both from males and females that ranged from  
19 ages 2 to 84. So that's a pretty large range of people.  
20 And I have the paper. I don't exactly -- I think -- I  
21 can't tell you the exact number of individuals that were  
22 tested, but I can -- if you wanted to know that, I could  
23 tell you.

24 PANEL MEMBER QUINT: No. That's fine. I just  
25 was wondering if -- yeah. So they had a method -- they

1 have a developed method for measuring BPS?

2 DR. PLUMMER: They do, yeah, and they've  
3 published that. They published 3 papers on BPS in the  
4 past -- in this year.

5 PANEL MEMBER QUINT: So when you said that there  
6 aren't -- most of the studies are from Asia and some from  
7 Canada and not many in the U.S., those are for all of the  
8 compounds or for in dust or just -- I mean --

9 DR. PLUMMER: So the dust paper -- oh, sorry. Go  
10 ahead.

11 So the dust paper looked both -- they're kind of  
12 companion papers, so they looked in similar countries as  
13 they measured -- for where they measured urine. So since  
14 it's from the same group, they, you know, I guess work  
15 with their collaborators in the same fashion.

16 But for a lot of the detections in consumer  
17 products, they were done, you know, in other countries.  
18 So we're pretty limited in our knowledge of which kinds of  
19 chemicals are being used in the U.S.

20 PANEL MEMBER QUINT: Right. And just one final  
21 question. In this Design for the Environment -- oh,  
22 sorry. In the Design for the Environment study, which I  
23 didn't have a chance to -- I don't know if it's available,  
24 but I didn't have --

25 DR. PLUMMER: Yeah, it's available on-line.

1           PANEL MEMBER QUINT: Yeah, I didn't have a chance  
2 to read it. I was wondering if, you know, aside from  
3 TGSA, if there were other substitutes that were not  
4 structural analogues of this group of chemicals that were  
5 being developed or in use or did they find anything else  
6 that -- emerging that didn't belong to this group of  
7 chemicals?

8           DR. PLUMMER: Yes, they definitely did. And we  
9 presented those chemicals as part of our preliminary  
10 screen. And with Panel recommendation and further  
11 research, we narrowed it down to the chemicals that were  
12 structurally similar, which is really helpful since we're  
13 a laboratory-based program, to really help move forward  
14 our understanding of potential exposure. So that's kind  
15 of the path we came down.

16           And so there are quite a bit -- the group has  
17 worked with industry, and so they really have some  
18 firsthand knowledge, you know, straight from the producers  
19 that, yeah, this is being used or things like that. So  
20 it's a pretty exhaustive list they have, but they're  
21 doing -- and Sara has done quite a bit of research on kind  
22 of further substitutions, which we haven't looked  
23 completely into, but it looks like they're just, you know,  
24 taking another approach to possibly reduce activity, but  
25 again, those aren't included in this document.

1           PANEL MEMBER QUINT: Right. No, I was just more  
2 interested. So there are safer substitutes for these  
3 chemicals that don't raise concerns about toxicity. I  
4 guess that's what I was mostly concerned about.

5           DR. PLUMMER: I'm not sure if they've really  
6 identified ones that they say are completely safe in that  
7 assessment.

8           PANEL MEMBER QUINT: Okay. All right.

9           MS. HOOVER: Sara Hoover, OEHHA.

10           Just adding to what Laurel was saying. So  
11 basically, yes, we are very interested in derivatives.  
12 For this initial step, we're only including bisphenols and  
13 diglycidyl ethers. We have not done the research to make  
14 the conclusion of, yes, these are safer. We're just  
15 speculating that likely some of the substitutions that are  
16 being made are possibly, you know, with a view to reducing  
17 biological activity, but we're just -- at this point,  
18 we're speculating.

19           PANEL MEMBER QUINT: No, right. I understand.

20           MS. HOOVER: And we -- later in the day when  
21 you're talking about agenda items for 2013, this is  
22 another avenue that we could pursue. So, right now, we  
23 were just biting off, you know, a discrete piece, and then  
24 we could do additional research and bring back more  
25 information on the other types of derivatives.

1           PANEL MEMBER QUINT: Right. I was just wondering  
2 if the EPA report had talked about -- had done any --

3           MS. HOOVER: Yes. So the EPA actually did an  
4 extensive -- so I'm just saying we haven't digested that  
5 information.

6           PANEL MEMBER QUINT: I understand.

7           MS. HOOVER: It doesn't -- it's not that it  
8 doesn't exist. It's just that we can't comment in detail,  
9 at this point, because we haven't digested it. We  
10 digested this set.

11          PANEL MEMBER QUINT: No, I understand.

12          MS. HOOVER: But, yeah, they actually went  
13 through and they did ratings. You know, they looked at  
14 toxicity. They looked at environmental degradation. They  
15 looked at all -- you know, it's quite an extensive  
16 document. So that, if we did pursue, you know, some of  
17 these derivatives, there's a wealth of information that we  
18 could call on to answer those questions.

19          PANEL MEMBER QUINT: Thank you.

20          DR. PLUMMER: And we worked really close too with  
21 Cal Baier-Anderson from the DFE report. And so she's been  
22 a really valuable resource for us moving forward in this.

23          CHAIRPERSON LUDERER: Dr. Wilson.

24          PANEL MEMBER WILSON: Yes. Mike Wilson here.

25 And thank you, Dr. Plummer, for that presentation and also

1 for the preparation materials. You know, very helpful and  
2 very well written and so forth. I appreciate that.

3           And so if I -- I have a question about your sense  
4 of the use of these particular substances in California  
5 specifically. And my understanding, if I remember, is  
6 that the National Toxicology Program identified bisphenol  
7 A in 2008 as having effects among, I think, children and  
8 infants, brain effects, behavior effects, prostate gland,  
9 I think, effects for -- at current human exposure levels  
10 for fetuses, infants, and children in 2008. And then last  
11 year, Governor Brown signed AB 1319 that prohibits the use  
12 of bisphenol A in children's, I think, sippy cups and --

13           DR. PLUMMER: Baby bottles.

14           PANEL MEMBER WILSON: Yeah, and bottles at  
15 greater than 0.1 parts per billion, I think, for use by  
16 children under 3 years of age. So fairly, you know,  
17 specific targeted piece of legislation there.

18           So is it your sense that, you know, now we're a  
19 year after that piece of legislation, that you're  
20 expecting that California, in particular, will be seeing a  
21 greater use of these particular -- these substances as  
22 substitutes for bisphenol A, not only in those products,  
23 you know, the sippy cups and so forth, but in other  
24 products as well?

25           DR. PLUMMER: Yeah, definitely. And I think a

1 couple of the chemicals that we presented earlier in the  
2 preliminary screens, some of the proprietary chemicals, in  
3 addition to the ones that we were talking about today,  
4 that could structurally be used in the different, you  
5 know, applications for plastics and can linings. And, I  
6 mean, I think, not to go too far, but I think with thermal  
7 paper as well, I mean, the awareness from BPA is -- in  
8 that instance for the sippy cups and things, is  
9 influencing manufacturers, you know, across the board in  
10 different industries.

11           So while we don't know specific uses of these  
12 substitutes in California really or the U.S., based on  
13 current production volumes, just based on all this  
14 activity within the past year and prior to that, I would  
15 expect these chemicals to be increasing in use definitely.

16           Did that answer your question?

17           PANEL MEMBER WILSON: Sure. Yes, it did. And I  
18 guess it's -- you know, in my own experience, I've seen  
19 labeling -- a growing number of labels, it seems to me, of  
20 bisphenol A free products.

21           DR. PLUMMER: Definitely.

22           PANEL MEMBER WILSON: Okay.

23           DR. PLUMMER: Well, and I think -- so the 2  
24 chemicals we talked about in the preliminary screen,  
25 there's evidence that they're being used in plastic, like

1 you know, bottles like the hard plastic bottles. And they  
2 have done some studies. There are posters at SOT looking  
3 at the different -- or like toxicity of these  
4 alternatives.

5 And those don't appear to be particularly toxic  
6 in the studies that they've done, but I can't really  
7 comment too much further on that. But, yeah, there are  
8 lots of alternatives that are coming out, definitely.

9 MS. HOOVER: Again, Sara Hoover, OEHHA. Just to  
10 add to that.

11 So the alternatives that Laurel was just  
12 referencing are not part of this group, so those are  
13 different alternatives.

14 In terms of this group, Laurel did find a paper  
15 that tested BPA-free thermal paper, I think, or paper in  
16 general. It was paper. Yeah, BPA-free paper, and found  
17 BPS, I think. So we know BPS for sure. BPS is being  
18 found -- if you look at the New York study, it's being  
19 found in a lot of paper. I mean kind of an amazing amount  
20 of paper products.

21 We -- did you want to add?

22 DR. PLUMMER: Just one other. So with all the  
23 measurements in dust as well, we know like BPF is out  
24 there, but we don't know where it's coming from  
25 necessarily at this point.

1 MS. HOOVER: Yeah, that was a good point. So we  
2 don't really have clear evidence of production volume for  
3 BPF. If you looked in the document, actually Laurel  
4 discovered some information on manufacturer websites,  
5 where BPF is being used to make certain epoxy resins. And  
6 then New York did find, you know, detections of BPF in  
7 dust, which was maybe higher than you'd expect based on  
8 the kind of production volume we're finding.

9 So, essentially, our answer is we don't really  
10 know. You know, we're speculating. And kind of the point  
11 of -- the point, as Laurel was talking about, of  
12 looking -- of just let's call it a group, is to allow the  
13 lab kind of more freedom to take bulk urine samples,  
14 samples from volunteers, and if these are designated, they  
15 could actually do this in program studies, and just see  
16 what's there, you know, as much as possible.

17 And, of course, our hope is to have the ability  
18 to do non-targeted screening or -- I guess you'd still  
19 call it targeted in this group, but broader, you know,  
20 targeting of looking for what's there, rather than trying  
21 to chase particular chemicals, because we really didn't  
22 feel -- after doing this, we don't feel confident that we  
23 can actually tell you what's out there, what's being used,  
24 what's being substituted. We just don't have that kind of  
25 confidence.

1 I would say, in terms of use, we have a little  
2 bit more confidence in information about some of the  
3 derivatives, and some of the alternatives that Laurel was  
4 pointing to not in this group, but we prioritized this  
5 group also for health-based reasons and so forth.

6 PANEL MEMBER WILSON: Thank you.

7 CHAIRPERSON LUDERER: Actually, I kind of have a  
8 follow-up to Dr. Wilson's question, which is you showed in  
9 those really nice tables and also in more detail in the  
10 document that quite a few of these chemicals have  
11 endocrine activity, in particular estrogen  
12 receptor-binding activity and stimulating MCF7 cell growth  
13 and reporter assays. And I was wondering if you could  
14 make any kind of general comparisons of the potency  
15 relative to BPA, since that's one we're maybe all more  
16 familiar with.

17 DR. PLUMMER: That is an avenue I tried to go in.  
18 And I think what we were trying to do is report what data  
19 is out there. And unfortunately, it's inconsistent when  
20 it comes to relative -- you know, one in vitro study will  
21 say put the chemicals in one order, and then another study  
22 will put them in a slightly different order.

23 And so there was a little too -- there wasn't  
24 enough consistency for us to pull some order like that out  
25 unfortunately.

1           CHAIRPERSON LUDERER: And then one more question  
2 which is having to do with the -- so at the back of  
3 your -- at the end of your document, you have this  
4 extensive table of other chemicals that fall into these  
5 same -- that fit this structural pattern, and I was  
6 wondering whether the ones that you chose to highlight,  
7 did you chose those based on availability of information,  
8 production volume, kind of everything together?

9           DR. PLUMMER: Yeah, you're exactly right. And I  
10 meant to say that during the talk, but these are the  
11 chemicals that we had, you know, more than a handful of  
12 studies on. Some of the ones in the back there's actually  
13 no studies on. And the list of chemicals was pulled from  
14 the NTP report. They just -- I think we mentioned this at  
15 another meeting where they're working on evaluating BPAF  
16 with, you know, the whole range of chronic and  
17 reproductive studies and things like that.

18           So they provided a really exhaustive list in the  
19 back. And so a lot of those structures came from that. I  
20 don't know, did you want to say something else?

21           MS. HOOVER: And, yeah, just to -- for the back.  
22 In the end, what we did was we actually went through and  
23 annotated which papers those appeared in. I also went  
24 through and searched for production volume, and I think  
25 just 1 or 2, you know, popped up. So because it was '06

1 data, we didn't really feel comfortable relying on that.  
2 So I would say not really based on production volume, but  
3 more based on being cited a number of times. And also  
4 kind of just to illustrate the range of types of compounds  
5 that we're considering to be in this group, that was the  
6 purpose of that last table.

7 CHAIRPERSON LUDERER: Dr. Bradman.

8 PANEL MEMBER BRADMAN: Asa Bradman.

9 I just want to highlight your comments on the  
10 analytical methods. And you mentioned that these  
11 compounds would likely be able to be run as a panel, so to  
12 speak, I assume in the phenols analysis with bisphenol A.  
13 So it sounds like the laboratory development processes  
14 would be challenging, but clearly possible, especially if  
15 standards and other references are available.

16 I'm just curious, are there any QA/QC programs  
17 with respect to these compounds, for example, with CDC?  
18 And, in general, maybe you could provide a sense of when  
19 the laboratory capabilities would be in place?

20 DR. PLUMMER: So in regards to the PT question, I  
21 don't really know the answer to that yet. I would assume  
22 they're could be some kind of collaboration with the New  
23 York Laboratory or consulting with them.

24 And then your second question -- I'm sorry, can  
25 you remind me again what it was.

1           PANEL MEMBER BRADMAN: The second question was  
2 about the time frame for getting those capabilities.

3           DR. PLUMMER: I might have Dr. She answer that.

4           DR. SHE: I think since the last meeting when we  
5 started talk about the topics, we purchased some standard.  
6 I don't know exactly which one we purchased. Some of  
7 them -- and then we have the instrument ready for this  
8 kind of analysis. So it could be bundled with the current  
9 environmental phenol method.

10           Also, regarding your first question about the PT  
11 program, I think in addition to New York group, that Dr.  
12 Liao and Kannan did some study published. And at UCSF,  
13 I've heard they tried to do some kind of PT program, but  
14 I'm not sure which phenol they exactly worked on, but we  
15 will find out more.

16           So in regard to time frame, I think that's -- if  
17 we have proper standards and should quite easy end up for  
18 some of them.

19           CHAIRPERSON LUDERER: Dr. Wilson.

20           DR. LIPSETT: Michael Lipsett.

21           I just wanted to add a comment about part of the  
22 discussion earlier looking at the relative potencies of  
23 these bisphenol A analogues, and that is that it's  
24 probably not bisphenol A that is problematic as an  
25 estrogen receptor, in terms of its transcriptional

1 activity, but one of its metabolites that's active at like  
2 2 to 3 orders of magnitude lower concentrations.

3 And so when we talk about the relative potencies  
4 of these different analogues, we don't -- I mean, it would  
5 make more sense globally to look at the spectrum of their  
6 different metabolites and how those operate, but that's  
7 not really what we're doing here. We want to just try and  
8 get a sense of the breadth of exposure to these different  
9 compounds.

10 CHAIRPERSON LUDERER: Dr. Wilson.

11 PANEL MEMBER WILSON: Thank you.

12 Dr. Plummer, another question for you. I was  
13 noticing that in the tables that you provided in the  
14 materials that some of these substitutes are -- you know,  
15 looks like substitutes for bisphenol A, some of them are  
16 fairly environmentally persistent. But for the most part,  
17 they're not really bioaccumulative.

18 And I guess that's also true for bisphenol A.  
19 And yet, of course, we see it in the NHANES study --  
20 NHANES findings. And I'm just wondering if you could say  
21 something about if these are all basically going to behave  
22 the same with regard to bioaccumulative potential. And I  
23 guess my understanding is that, you know, we've continued  
24 to see bisphenol A in the NHANES findings because people  
25 are continually exposed, even though it has a fairly short

1 half-life in the body.

2           So, I guess, I'm curious about that point, if  
3 that's actually correct, and how that would play out with  
4 these substitutes?

5           DR. PLUMMER: So, I mean, the numbers and things  
6 in the tables that you have are based on PBT Profiler,  
7 which is a majority of the values, except where we've  
8 noted that it's experimental. So it's really not known  
9 how these chemicals behave, you know, actually in the  
10 environment. That's really the best I can do.

11           MS. HOOVER: Yeah, I mean, I think that you  
12 observed that there wasn't a lot of evidence of  
13 bioaccumulative issues. You know, BPAF appears to be more  
14 persistent. And then there was actually this one study  
15 cited by NTP as having found BPF in adipose tissue.

16           DR. PLUMMER: You mean BPAF.

17           MS. HOOVER: Yeah, I said BPAF. Maybe I slurred  
18 it -- BPAF in adipose tissue. NTP cited that, but when we  
19 actually looked at the paper, it wasn't clear if they  
20 actually detected it in adipose tissue or if they were  
21 just predicting where it would elute, if it were in  
22 adipose tissue. So we contacted the authors and we  
23 haven't been able to reach them yet.

24           That would, you know, be a different story  
25 obviously if they were finding it in adipose tissue. But

1 I think that your description of pseudo-persistence by  
2 virtue of extensive use and continual exposure is probably  
3 most likely for most of these.

4 CHAIRPERSON LUDERER: Dr. Quint.

5 PANEL MEMBER QUINT: This is Julia Quint. Yes,  
6 and in that same vein, I mean, for some of these uses,  
7 like for the thermal paper, I would think you would have  
8 occupational exposures being of real concern, because  
9 where most of us handle some of these things, you know, we  
10 probably all have similar exposures to the derivatives  
11 that are in canned -- lining cans and things like that.  
12 But for some of these, people are handling them  
13 continually throughout their, you know, work days and  
14 stuff.

15 So I think, you know, that's another reason that  
16 it's important to look at these.

17 DR. PLUMMER: Yeah, definitely. And another  
18 thing too is that the New York paper where they were  
19 measuring it in paper products, they looked at a number --  
20 I mean, not just thermal receipts, like cash register  
21 receipts, they were looking at, you know, airline luggage  
22 tags, different cardboard, you know, packaging materials  
23 and things.

24 And so that's -- you know, there's many, many  
25 different sources like that. And whether they're adding

1 the BPS to those materials or whether it's a byproduct of  
2 them being recycled and somehow, you know, contaminate  
3 that way, we didn't look too much into that, but that's,  
4 you know, kind of an interesting thing to consider.

5 PANEL MEMBER QUINT: Right.

6 CHAIRPERSON LUDERER: Dr. Bradman.

7 PANEL MEMBER BRADMAN: Just a quick response to  
8 Dr. Wilson's question. You know, for many -- some of  
9 these compounds that have relatively high Kow's, if they  
10 metabolize quickly, they're excreted quickly and have  
11 short half-lives in the body. And a relatively small  
12 proportion can actually accumulate in adipose tissue, just  
13 if you look at the equilibrium. So, for example, like  
14 chlorpyrifos has a relatively high Kow. In indoor  
15 environments it can be fairly persistent.

16 We probably expect bisphenol A to be persistent,  
17 you know, in its plastic container, but I would expect  
18 that if people were exposed to it, it moves through the  
19 body quickly. We know it has a short half-life, so it's  
20 not likely to be accumulative, and measurements usually  
21 would reflect ongoing exposure.

22 And I think that's kind of -- it's clear when you  
23 look at some of the studies that came out from CDC on  
24 day-to-day and within-day variability. Given the level of  
25 fluctuation, it's probably reflecting ongoing current

1 exposures rather than any real potential to accumulate in  
2 the body.

3 CHAIRPERSON LUDERER: Dr. McKone.

4 PANEL MEMBER MCKONE: Just expanding on that, I  
5 know in CHAMACOS when we started the chlorpyrifos again,  
6 it doesn't last long in the body. The household  
7 environment retains it for a very long time in that case  
8 because chlorpyrifos is degraded photolytically, but that  
9 pathway doesn't exist. There's not enough light intensity  
10 indoors. I don't know about bisphenol A what its  
11 breakdown is, but there are a lot of semi-volatile  
12 chemicals now we're seeing that have -- some of the  
13 phthalates have extremely long half-lives in the indoor  
14 environment.

15 And so it essentially becomes -- you almost have  
16 to look at it as an extension of the individual and this  
17 is the reservoir. And you can quit the use, but you may  
18 have months before it fully disappears as an exposure  
19 pathway. So it really does add -- in a way, we almost  
20 wish we could extend this to biomonitoring homes, as well  
21 as the people in those homes, to really kind of see this  
22 coupled system working.

23 DR. PLUMMER: Well, and I think that's  
24 particularly -- I think, to me, that's why the  
25 measurements in indoor dust are particularly interesting,

1 for that reason. I mean, you kind of expanded on it  
2 there, where things may persist indoors, so it, you know,  
3 helps us understand where -- you know, that there may be  
4 exposure, but just it's hard to know at this point where  
5 it comes from.

6 CHAIRPERSON LUDERER: Dr. Quint.

7 PANEL MEMBER QUINT: On that note, since I  
8 mentioned occupational exposure, you have toddlers with  
9 dust and hand to mouth, so I think it just, you know,  
10 raises -- I just really want to commend the Program for  
11 this looking -- I mean, taking the forward look of looking  
12 at these substitutes that are emerging, because I think  
13 that really is so important, because, you know, we know  
14 about BPA, so the focus is there, but then these other  
15 analogues are coming onto the market as substitutes. And  
16 we've seen the regrettable substitution thing throughout  
17 the whole -- you know for a lot of these chemicals. So I  
18 think it's just great that you're doing this.

19 DR. PLUMMER: Yeah, I agree.

20 CHAIRPERSON LUDERER: Okay. I think we should  
21 take some public comments, if we have any at this point.

22 All right. We have one public comment. This is  
23 from Nancy Buermeyer from the Breast Cancer Fund.

24 Sorry if I mispronounced your name.

25 MS. BUERMEYER: Trust me, you wouldn't be the

1 first to mispronounce my name. It's Nancy Buermeyer from  
2 the Breast Cancer Fund.

3           The Breast Cancer Fund has spent a great deal of  
4 time and energy looking at BPA and trying to educate the  
5 public about the concerns and get it out of consumer  
6 products. And to speak a little bit to Dr. Wilson's  
7 question earlier, the law in California is certainly  
8 important, but the FDA also recently banned the use of BPA  
9 in baby bottles.

10           Interestingly enough, they did it in response to  
11 a petition by the American Chemistry Council, which argued  
12 that the market had already abandoned the use of BPA in  
13 baby bottles nationwide, and so they made it formal.

14           And what I think it speaks to is the fact that  
15 BPA is on the down -- is on a down spiral. Companies  
16 understand how much consumer concern there is about this  
17 chemical. We have launched a project recently called Cans  
18 Not Cancer that's focused on BPA in food can linings.

19           And of growing concern to us, as companies like  
20 Campbell have just recently announced that they will  
21 remove BPA from their canned food linings is what are they  
22 going to replace it with. And they have been far less  
23 than transparent, and I'm being kind there, about what  
24 chemicals that are going to be used in place of this  
25 endocrine disruptor.

1           So I think what has driven a lot of the research  
2 and the progress that we've made on BPA is the NHANES  
3 data, looking at the fact that, you know, over 90 percent  
4 of the public is exposed to this stuff. And so ergo, we  
5 should find out what it does, and that has spurred a --  
6 just a explosion of scientific research on this chemical.  
7 And it really has been pushed by the fact that we all have  
8 it in us.

9           And so I think it is critically important that we  
10 move forward wherever we can to see what are the  
11 substitutes they are using and what are they -- and are  
12 they showing up in us, because that's going to spur more  
13 research.

14           My impression is that the research that we have  
15 about the toxicity of a lot of the substitutes, to the  
16 extent that we know what those substitutes are is paltry.  
17 Like, we just don't know very much about BPS. We don't  
18 know very much about these other chemicals. And it's  
19 going to take education about what they are, finding them  
20 in people to spur that scientific research, so that we  
21 know what those chemical impacts are, those health impacts  
22 are, because we don't have a system in place right now  
23 that requires thorough testing before these chemicals are  
24 put into use.

25           So that's a very long-winded way of saying that I

1 really hope that the Panel moves forward with these  
2 chemicals. I think any information we can get about human  
3 exposure to the chemicals that are being used to replace  
4 BPA is going to be really important.

5           And I would submit that the amount of BPA used is  
6 certainly in some of the consumer product categories that  
7 we've worked on has been reduced dramatically since the  
8 2006 data. So we need to be figuring out what else are  
9 they using and working to find out if they really are  
10 safer alternatives and moving forward with that.

11           So I hope that the great work that the Program  
12 has done results in some data about what's getting into  
13 us.

14           So thank you very much.

15           CHAIRPERSON LUDERER: Thank you very much.

16           Do we have any additional comments or discussion  
17 from the Panel or are the -- Dr. McKone.

18           PANEL MEMBER MCKONE: It's probably a  
19 clarification, but -- so bisphenol A is already in the  
20 NHANES set, right? So anything that's in NHANES is  
21 already, from our perspective, listed. So what we're  
22 really talking about now is listed everything in this  
23 category of chemicals, other than bisphenol A, we're just  
24 adding to the list, I guess, is that right?

25           CHAIRPERSON LUDERER: Yes.

1 DR. PLUMMER: Yes, that's true. And also BPA is  
2 a priority chemical for the Program, so it's already moved  
3 ahead to -- from designation to priority.

4 PANEL MEMBER MCKONE: Well, I just want to make  
5 that clarification, because we're actually adding more  
6 chemicals to, in a way, a family that's already there, but  
7 only represented by one chemical.

8 DR. PLUMMER: Essentially, yes.

9 CHAIRPERSON LUDERER: Dr. Wilson?

10 PANEL MEMBER BRADMAN: I think also -- am I  
11 interrupting?

12 CHAIRPERSON LUDERER: No. Dr. Bradman, go ahead.

13 PANEL MEMBER BRADMAN: I just wanted to clarify  
14 too, it sounds like there's 2 options -- 3 options we have  
15 today. One is to recommend against designating these  
16 compounds. The other is to designate it, put it on the  
17 list. And the third would be to make it a priority. So I  
18 just want to clarify that --

19 DR. PLUMMER: No, just --

20 CHAIRPERSON LUDERER: Not to make it a priority,  
21 but not to recommend against them as a class -- sorry. So  
22 recommend against, recommend them as a class of chemicals,  
23 or we could choose certain ones that we think should be  
24 designated, not the entire list.

25 PANEL MEMBER BRADMAN: Right, but still even

1 within those categories though, they can be designated as  
2 being on the list, but we can also elevate them to make  
3 them priority compounds.

4 CHAIRPERSON LUDERER: That's not under discussion  
5 today. I think we have to do the designation first and  
6 then priority is another discussion in the future.

7 DR. PLUMMER: So with priority, we would prepare  
8 a potential priority table. Yeah, so that's a different  
9 step. So we would bring that to you, you know, to provide  
10 information about listing as a priority. This is just  
11 for --

12 PANEL MEMBER BRADMAN: Okay. So the decision  
13 today is just around designating it.

14 DR. PLUMMER: Yeah.

15 PANEL MEMBER BRADMAN: Okay. Thank you.

16 CHAIRPERSON LUDERER: Dr. McKone and then Dr.  
17 Wilson.

18 MS. HOOVER: Dr. Luderer, can I just add, and our  
19 lawyer just indicated, that you can request that we do  
20 that right away. So if that was something you wanted to  
21 add to your recommendation, you could request the Program  
22 bring it back to us, you know, as soon as you can work it  
23 into the agenda or however you want to make that  
24 recommendation.

25 PANEL MEMBER MCKONE: But bisphenol A already is

1 in that category, right?

2 Right, so we already have one --

3 MS. HOOVER: Bisphenol A is a priority chemical.  
4 We actually noted in the document it's part of this group.  
5 And, you're right, just like PBDEs, you know, PBDEs were  
6 on our list, and then we made the larger group of  
7 brominated and chlorinated flame retardants. So this is  
8 kind of similar, you're right. So it's the same family,  
9 but it's not designated, and we would have to have another  
10 step to make them priority.

11 PANEL MEMBER MCKONE: So my question is pretty  
12 practical, basically in the laboratory, since we  
13 already -- you already have to do bisphenol A, right, so  
14 you've got to do the chemical analysis for it. These are  
15 all in the same family of chemicals and they're there,  
16 right? So it literally is just fine-tuning -- you know,  
17 if we designate it, the only amount of added work is just  
18 looking for more peaks, isn't it? I mean, you're not  
19 going out and doing an extraction method or --

20 MS. HOOVER: I mean, I think looking for more  
21 peaks is a lot -- you know, it's still a lot more work. I  
22 mean, it sounds easy, but --

23 (Laughter.)

24 MS. HOOVER: -- you know, it's still a lot of  
25 work. But, you're right, that it's not -- you know, we're

1 not breaking, you know, totally new ground here. There's  
2 methods. There's standards available. It's definitely  
3 doable I think as Dr. She was indicating. Did you want to  
4 add anything, Jianwen?

5 DR. SHE: I agree with Sara is a little bit more  
6 work because -- and of few more peaks. It's possibly you  
7 do like some qualitative work, the quality control, how to  
8 make sure your data is accurate in quantitative ways is --  
9 take a little bit longer.

10 But overall, if the structure is very similar, we  
11 can predict the fragmentation in the source I do not think  
12 is too much work, you are right.

13 PANEL MEMBER MCKONE: But it's -- what, it's  
14 about 6 or 7 more chemicals or are there even more? I'm  
15 not sure how many are in this other general category.  
16 Anyway.

17 CHAIRPERSON LUDERER: I think to answer that last  
18 question, there's the table at the end of the document  
19 would -- those chemicals would also be included.

20 MS. HOOVER: Yeah. We don't have a count. I  
21 mean, it could be a vast number. And I can tell you that  
22 I did additional research, as did Laurel, and there's  
23 many -- I mean, we have a whole bunch more that we didn't  
24 even include in the document. So these -- the 6 or the  
25 whatever that are highlighted -- 7. Thank you, Laurel.

1 The 7 that are highlighted, they're just the 7 that we  
2 chose to highlight. So the group is not the 7. The group  
3 is the entire group.

4 CHAIRPERSON LUDERER: Dr. Wilson, did you want to  
5 make a motion?

6 PANEL MEMBER WILSON: Sure. Mike Wilson.

7 I mean, I think there's 2 points here. One is  
8 that, without question, this group of substances satisfies  
9 the criteria for designation under the Program, if not all  
10 of the criteria.

11 And second, that given where we are with the  
12 market and the extent to which any number -- any single of  
13 these substances might emerge as the most prominent  
14 substitute for bisphenol A - in other words, we don't  
15 really know at this point - I think it makes sense to  
16 designate the group. And so I would like to make a motion  
17 that the Panel recommend for designation under the  
18 California Biomonitoring Program p,p'-bisphenols and  
19 diglycidyl ethers of p,p'-bisphenols as a group; and that  
20 the Program follow up with further information to the  
21 Panel that could support potentially prioritizing this  
22 group of substances.

23 CHAIRPERSON LUDERER: Thank you, Dr. Wilson. I'd  
24 like to just repeat that, make sure we all -- that I got  
25 it right.

1           So we have Dr. Wilson moves that the Panel  
2 recommends that the p,p'-bisphenols and the diglycidyl  
3 ethers of p,p'-bisphenols be recommended as designated  
4 chemicals by the California Environmental Contaminant  
5 Biomonitoring Program; and further, that the Program come  
6 back at one of the subsequent meetings with some  
7 information about possibly elevating some of these  
8 chemicals to priority status.

9           CHAIRPERSON LUDERER: Dr. Quint, did you have a  
10 comment before that.

11           PANEL MEMBER QUINT: No.

12           PANEL MEMBER MCKONE: Can we second with a  
13 comment?

14           CHAIRPERSON LUDERER: Please do.

15           (Laughter.)

16           PANEL MEMBER MCKONE: I second the motion, but I  
17 think it's not just the chemicals. It's an important  
18 opportunity - we've said this before - when things are in  
19 transition, right, chemical substitutions, getting them on  
20 the list and getting action going soon gives us a very  
21 important opportunity to see a transition.

22           And I think not just for health, but for a lot of  
23 environmental exposure, you know, science, just for  
24 understanding it better is very important to see those  
25 transitions. It looks like this is another opportunity to

1 not just collect information on what's in people, but  
2 actually watching it change in time.

3           And we don't want to miss that opportunity, which  
4 is why I would second the motion and probably be a little  
5 more inclined to move faster to get these in the system.

6           CHAIRPERSON LUDERER: All right. Then I'll just  
7 ask the entire panel, starting with Dr. Quint, to vote on  
8 the motion.

9           PANEL MEMBER QUINT: I vote yes.

10          PANEL MEMBER WILSON: Mike Wilson, aye.

11          CHAIRPERSON LUDERER: Ulrike Luderer, yes.

12          PANEL MEMBER MCKONE: Tom McKone, yes.

13          PANEL MEMBER BRADMAN: Asa Bradman, yes.

14          CHAIRPERSON LUDERER: Okay. And so the Panel has  
15 recommended -- the Panel unanimously recommended  
16 designation of these chemicals as a class.

17           All right. Thank you.

18           All right. So our next agenda item for today  
19 is - and we are well ahead of schedule - chemical  
20 selection planning. This is discussion of synthetic musks  
21 for potential future consideration. And this will be  
22 presented by Dr. Gail Krowech from the Office of  
23 Environmental Health Hazard Assessment.

24           Dr. Krowech, thank you.

25           (Thereupon an overhead presentation was

1           presented as follows.)

2           DR. KROWECH: Good afternoon.

3           Before I get into the discussion of synthetic  
4 musks, I wanted to just give a little background on how we  
5 have come to look at them. The Program has been -- was  
6 asked to look at synthetic musks as possible candidates  
7 for biomonitoring from several sources, from State staff  
8 in the query of State scientists a few years ago, on  
9 recommendations for biomonitoring by the public, and by  
10 the Panel.

11           So the purpose of the agenda item today is a  
12 preliminary review of some information on potential  
13 exposure.

14                               --o0o--

15           DR. KROWECH: Synthetic musks are widely used in  
16 personal care products and in some cleaning products as  
17 well, such as perfume, body lotion, deodorant. In the  
18 cleaning products, there's high use in furniture polish,  
19 laundry detergent, and fabric softeners.

20           There are 4 classes of musks: Nitro musks,  
21 polycyclic musks, macrocyclic musks, and alicyclic musks.

22                               --o0o--

23           DR. KROWECH: So this is a -- the next 2 slides  
24 will be slides of example structures for these 4 classes.

25           The first one are the nitro musks. And the

1 example is musk xylene. The polycyclic musks, this is  
2 Galaxolide and I'm going to be often using trade names  
3 when I talk about the musks, because it's easier to keep  
4 track of them that way.

5 --o0o--

6 DR. KROWECH: The next class is macrocyclic. And  
7 this is an example of ethylene brassylate. And alicyclic  
8 musks, the example Romandolide.

9 --o0o--

10 DR. KROWECH: In terms of the nitro musks,  
11 commercially, the most important ones have been musk  
12 xylene and musk ketone. They have been declining in use  
13 worldwide since the late 1980s. We know that they're not  
14 included on the list from the International Fragrance  
15 Association list of fragrance ingredients in 2010.

16 You can see musk ketone is not reported in 2006.  
17 The import production volume is less than the U.S. EPA  
18 reporting threshold. And we don't really know what's  
19 happening right now. The latest we have is 2006.

20 --o0o--

21 DR. KROWECH: This page shows the persistence and  
22 bioaccumulation information. Musk xylene has been  
23 concluded to be very persistent and very bioaccumulative  
24 by the EU under REACH. And it's been designated as a  
25 Substance of Very High Concern.

1           So this table shows the predictions of PBT  
2 Profiler, the U.S. EPA screening tool for persistence and  
3 bioaccumulation, for each of the musks. And also I've  
4 included the Log Kow's that are referenced in PBT  
5 Profiler. Those are all -- they were all noted to be  
6 experimental values.

7           Both of these 2 -- these musks have been found in  
8 blood, breast milk, adipose tissue, and environmental  
9 samples as well.

10                   --o0o--

11           DR. KROWECH: So on to the polycyclic musks,  
12 which were the original replacements for nitro musks. And  
13 I think all of the recommendations to the Panel -- to the  
14 Program to look at synthetic musks were basically to look  
15 at polycyclic musks. The commercially most important ones  
16 have been Galaxolide and Tonalide. And we have  
17 documentation that there's been declining use in Europe  
18 since the 1990s. We don't know what's happening in the  
19 United States. We don't have the most recent information.

20           It looks -- that seems consistent with the  
21 information on Tonalide that was below the reporting  
22 threshold for -- in 2002 and in 2006.

23                   --o0o--

24           DR. KROWECH: And just -- this slide just is to  
25 give you an example of how much musks are in products.

1 And in this study, they looked at 60 consumer products and  
2 looked at the levels of musks. So this is the highest  
3 level of Galaxolide that they found in these various  
4 products, which you can see is pretty high.

5 And also, I wanted to note that some products had  
6 more than one musk and definitely more than one fragrance  
7 material. So the perfume sample had over 1,000 parts per  
8 million Galaxolide and 451 parts per million Tonalide.

9 --o0o--

10 DR. KROWECH: Let's see -- I missed one. Okay.  
11 And here's the persistence in bioaccumulation, predictions  
12 from PBT Profiler predicting persistence for both of these  
13 musks, and bioaccumulation for Galaxolide, and you can see  
14 the Log Kow's are over 5.

15 They have been detected in house dust, in  
16 wastewater. Fish collected near effluent sites had fairly  
17 high levels, particularly of Galaxolide, and those also in  
18 the United States.

19 They were -- the polycyclic musks have been found  
20 in bivalves in San Francisco Bay, in marine mammals, and  
21 in humans, in adipose tissue, breast milk and blood.

22 --o0o--

23 DR. KROWECH: This is a structurally-related  
24 fragrance, Iso E Super. And it's structurally similar to  
25 Tonalide. You can see the import production reporting is

1 increasing from 1986 to 2006. And unlike some of the  
2 other synthetic musks, there isn't information about a  
3 decrease. I didn't find any information about decreasing  
4 use in Europe. I did find something in Sweden that showed  
5 there was increasing use from 2003 to 2010.

6 --o0o--

7 DR. KROWECH: And here is the prediction from PBT  
8 Profiler in terms of persistence and bioaccumulation as  
9 well as the Log Kow.

10 Iso E Super has been detected in house dust in  
11 Canada, in wastewater in the U.S. and Europe.

12 --o0o--

13 DR. KROWECH: This is the next class of musks,  
14 the macrocyclic musks. And they're likely an emerging  
15 class of musks. And this table shows the available volume  
16 of use in pounds for four of the musks of this category.  
17 So I have the U.S. EPA inventory update reporting to 2006,  
18 and then the International Fragrance Association report  
19 from 2008 showing for North America 200,000 -- about  
20 200,000 to 2 million pounds for each of these.

21 The worldwide use of ethylene brassylate was  
22 reported by the same organization to be greater than 1,000  
23 tons or greater than 2 million pounds.

24 --o0o--

25 DR. KROWECH: This slide shows 23 macrocyclic

1 musks, each of which was the subject of a separate  
2 toxicity review. And all of these were published in 2011.  
3 And this is just to give you an idea of the activity on  
4 macrocyclic musks.

5 --o0o--

6 DR. KROWECH: And again, this is the prediction  
7 from PBT Profiler for the 4 macrocyclic musks that were in  
8 the production -- import production volume table. They  
9 were predicted to not be persistent. One of them was  
10 predicted to be bioaccumulative, and you can see the Log  
11 Kow's are all over 4.

12 One of them, ethylene brassylate, was looked for  
13 in a study on house dust and detected.

14 --o0o--

15 DR. KROWECH: The final class may also be an  
16 emerging class of musks. And I was able to find import  
17 production volume for one of these, Helvetolide. It was  
18 reported as less than 500,000 pounds. We also know that  
19 it was first produced commercially in 1990, and it hadn't  
20 been reported in 2002 or before then.

21 All of these were predicted to be persistent by  
22 PBT Profiler. Helvetolide also was predicted to be  
23 bioaccumulative. The first 2, Helvetolide and Romandolide  
24 are in commercial use, and I don't think the third one on  
25 that list is.

1                   --o0o--

2                   DR. KROWECH: This is a table about how dust from  
3 a 2012 study -- this is part of the Canadian house dust  
4 study where samples were taken from 2007 to 2010. So it  
5 gives an idea of something that's more recent -- fairly  
6 recent. And you can look at the different categories of  
7 musks.

8                   The polycyclic musks were detected 100 -- had 100  
9 percent detection frequency. The Galaxolide lactone is an  
10 oxidation product of Galaxolide. And the levels also of  
11 the polycyclic musks are -- you can see, are pretty high,  
12 the median levels.

13                   The nitro musks were -- also had high detection  
14 frequencies, particularly musk xylene, but the median  
15 levels were much lower.

16                   Iso E Super, the structurally-related fragrance,  
17 had a detection frequency of 82 percent. And ethylene  
18 brassylate was the one macrocyclic musk that they looked  
19 for and the detection frequency was 43 percent.

20                   --o0o--

21                   DR. KROWECH: This is a table of findings from  
22 biomonitoring studies in blood and adipose tissue. The  
23 first 2 were from Austria. And just to give you a sense  
24 of percent detection for Galaxolide, Tonalide, and the  
25 study from Austria looked at musks -- at nitro musks as

1 well.

2           So the detection frequency was very high for  
3 Galaxolide, much lower for Tonalide. And for the nitro  
4 musks, musk xylene, also a high detection frequency. I  
5 didn't include it here, but the median levels for the  
6 first study were 400 nanograms per liter for Galaxolide  
7 and 11 nanograms per liter musk xylene. They didn't  
8 report Tonalide.

9           The adipose tissue study was from New York City.  
10 And they found high levels both of Galaxolide and  
11 Tonalide.

12                           --o0o--

13           DR. KROWECH: The final biomonitoring study,  
14 again from New York, and these were from breast milk  
15 samples in 2004. The top rows show breast milk samples  
16 from women who had not previously nursed children, and the  
17 bottom rows are the samples from women who had nursed one  
18 or more children.

19           So, you know, you can see the levels of  
20 Galaxolide are very high and decreased after in the second  
21 category. That's true for -- the decrease is true for all  
22 of the groups.

23                           --o0o--

24           DR. KROWECH: And then to end with a preliminary  
25 summary. So what do we know about these categories?

1 Well, the nitro musks, the use and exposure  
2 clearly seems to be declining, but they're still detected,  
3 and there's evidence of persistence and bioaccumulation.

4 The polycyclic musks, based on available  
5 information, members of this class still appear to be in  
6 use. There's declining use in Europe, and there's also  
7 evidence of persistence and bioaccumulation.

8 --o0o--

9 DR. KROWECH: The structurally-related fragrance,  
10 Iso E Super, there appears to be an increasing trend in  
11 U.S. volume between 1986 and 2006. There's an increasing  
12 trend in reported volume in Sweden from 2003 to 2010. And  
13 it's predicted to be persistent and bioaccumulative.

14 --o0o--

15 DR. KROWECH: In terms of the macrocyclic musks,  
16 they're likely increasing in use, based on the 2008 data,  
17 and declining use of polycyclic musks. They're predicted  
18 to be nonpersistent. The Log Kow's are greater than 4.

19 In terms of the alicyclic musks, they're possibly  
20 another emerging class. Volume of use data was located  
21 for only 1 alicyclic musk. That was in 2006. They're  
22 predicted to be persistent and some bioaccumulative.

23 --o0o--

24 DR. KROWECH: So questions for the Panel. What  
25 would the Panel suggest as our next steps on this project?

1           Would the Panel suggest that we do additional  
2 screening of synthetic musks?

3           Would the Panel suggest we look at other  
4 fragrances as well?

5           Do you suggest we proceed with potential  
6 designated documents on particular synthetic musks, on  
7 classes of musks, or other fragrances?

8           Or do you have other suggestions?

9           And I'll stop here.

10          CHAIRPERSON LUDERER: Thank you very much. That  
11 was a very interesting presentation and overview.

12          Do Panel members have any clarifying questions at  
13 this point before we ask for public comments as well?

14          Dr. McKone.

15          PANEL MEMBER MCKONE: On the last thing, when the  
16 question is other fragrances, are those in a similar  
17 chemical class or would those be quite different? You  
18 know, it's kind of a broad designation, but are they  
19 likely to be --

20          DR. KROWECH: It was hard to think about how to  
21 include this structurally similar chemical that's not a  
22 musk, but has a structure similar to the polycyclics. So  
23 it could be that, or it could be other -- look at other  
24 fragrances as well.

25          I mean, it's kind of an open question, really.

1           CHAIRPERSON LUDERER: I have a question as to  
2 whether you have any sense about the apparently declining  
3 use patterns that you're seeing. What is driving those?  
4 Is it the concerns about persistence? Is there concern or  
5 evidence of toxicity?

6           DR. KROWECH: I think with the nitro musks,  
7 there's both. It's the persistence, the bioaccumulation,  
8 and there's concerns about toxicity. With the polycyclic,  
9 I think there are concerns about toxicity, and there's  
10 bioaccumulation and persistence.

11           So I think those might be the drivers. I mean,  
12 that's what -- that's what the papers say, so it's hard to  
13 say.

14           CHAIRPERSON LUDERER: Dr. Quint. Thank you.

15           PANEL MEMBER QUINT: Julia Quint.

16           Thank you. This was another excellent  
17 presentation. I would be inclined, in terms of the  
18 question about more fragrances or, you know, continue with  
19 these. I guess I would like to, you know, feel that we've  
20 looked as much as we need to for the musks, and -- but you  
21 are the best -- you're in the best position, you know,  
22 as -- because you've done the research as to what more is  
23 out there?

24           I mean, if you were to continue with these, what  
25 would be left to do? I mean, what would you -- if we

1 stuck with them -- if we just, you know, did not pursue  
2 other fragrances and wanted to pursue this further, what  
3 are we talking about in terms of additional work?

4 DR. KROWECH: If we stuck with these and just  
5 continued to pursue this after --

6 PANEL MEMBER QUINT: Continue to pursue this  
7 class of fragrances, what would be -- what would that look  
8 like in terms of further work, I guess I'm asking?

9 DR. KROWECH: We would -- are you referring to --  
10 so would we -- we would prepare a document.

11 PANEL MEMBER QUINT: Yes. Okay. Right.

12 DR. KROWECH: That would be. We would prepare a  
13 document --

14 PANEL MEMBER QUINT: I mean, it's so thorough, it  
15 looks like part of the document, but this isn't the  
16 document. I understand that.

17 Right. I guess I would favor that, because  
18 you've made a good argument for -- I mean, you have  
19 persistence and you have toxicity and you have -- I mean,  
20 even the declining use, it's a persistent chemical, so we  
21 need to have a snapshot of what's going on now. You have  
22 emerging chemicals that, you know, we don't know if  
23 they're -- you know, that fit the profile of causing the  
24 same sorts of -- having the same sorts of concerns. So I  
25 think, to me, I would like to follow that pattern. I

1 mean, you know, have you prepare a document and see and do  
2 that rather than extend it to other things, because I  
3 think this makes a very compelling argument for  
4 biomonitoring.

5 CHAIRPERSON LUDERER: Although I guess the -- it  
6 is still the question that you have the musks and then you  
7 have the structurally related compound, and, you know,  
8 whether there are other structurally related compounds  
9 that may be coming in as substitutes. I mean, it sounds  
10 like that one is increasing in use, and there may be  
11 others.

12 DR. KROWECH: I'm not sure that one is exactly a  
13 substitute. It may be -- have been around for a long  
14 time. It just didn't -- it isn't picked up. So I don't  
15 think many people are really looking for it.

16 CHAIRPERSON LUDERER: I actually also have sort  
17 of a naive question, which is what actually makes  
18 something a musk, because these structures are so  
19 different?

20 DR. KROWECH: Okay. I had -- it's a great  
21 question, because I had the same problem, and it's the  
22 fragrance. So it's the odor. It took me awhile to figure  
23 that out.

24 (Laughter.)

25 CHAIRPERSON LUDERER: Let me just ask now whether

1 we have any public comments?

2 Oh, we have another clarifying question.

3 Dr. Wilson and then we'll take public comments.

4 PANEL MEMBER WILSON: Mike Wilson.

5 Just a clarifying question on your -- on the  
6 preliminary summary with the macrocyclic musks. So with  
7 the Log Kow of greater than 4, and then there were others  
8 listed with a BCF rate ranging from 280 to 5,300, is the  
9 conclusion here that these range from moderately  
10 bioaccumulative to very bioaccumulative?

11 DR. KROWECH: Well -- okay, so the 5,300  
12 obviously is -- meets the category of very  
13 bioaccumulative.

14 PANEL MEMBER WILSON: Right.

15 DR. KROWECH: The others -- the reason I put the  
16 Log Kow, because I think that the predictions may be don't  
17 take everything into effect -- into account, and so this  
18 is experimental data that we have. And that's all I --  
19 for this part of looking at it, that's all I really did.  
20 So I don't know, maybe they are bioaccumulative. Even if  
21 the prediction is low, we don't know the -- you know, this  
22 is not experimental. The only thing that's experimental  
23 here is the Log Kow. And greater than 4 may well be.

24 PANEL MEMBER WILSON: Right. I think that's  
25 evidence for bioaccumulation under OEHHA's Hazard Traits,

1 right, Kow greater than 4?

2 DR. KROWECH: I don't know. I know that the  
3 musks -- the nitro musks had Log Kow's around 4.

4 DR. MARTY: Relying on my memory is not good  
5 these days.

6 Melanie Marty - sorry - OEHHA.

7 So in the hazard trait reg, we do have a Kow and  
8 there was a lot of argument whether it was over 4 or 5.  
9 And I honestly can't remember what we ended up? I am  
10 remembering 5. I could be wrong, but if anybody has the  
11 Internet they can look it up right now.

12 CHAIRPERSON LUDERER: Dr. Quint.

13 PANEL MEMBER QUINT: Julia Quint. I have a  
14 really quick question. I notice that they were -- these  
15 musks, one of -- or several were in body lotions. And I'm  
16 wondering if any baby body lotions that -- have any been  
17 found in body lotions that are used on babies or targeted  
18 to babies, do you know?

19 DR. KROWECH: I didn't know the answer to that.  
20 I didn't come across it, but again, I didn't do a thorough  
21 literature search. It's a good question.

22 PANEL MEMBER QUINT: Thanks.

23 CHAIRPERSON LUDERER: Dr. Bradman.

24 PANEL MEMBER BRADMAN: I have a follow-up  
25 question to that. Also things like diapers, those kinds

1 of products, baby wipes. And then also you mentioned here  
2 they're used in some cleaners. I think the only real  
3 cleaner mentioned was laundry detergent, but I'm wondering  
4 is it also used in a scent in like household cleaning  
5 products or any of these compounds are used as scents in  
6 household cleaning products or air fresheners, that sort  
7 of thing?

8 DR. KROWECH: You know, I want to say yes to air  
9 fresheners, but I'd have to go back and check for sure.

10 PANEL MEMBER BRADMAN: And a follow-up question  
11 again also, is there information on the pharmacokinetics  
12 of these compounds?

13 DR. KROWECH: There may well be. I tried to look  
14 for it, in terms of the macrocyclic musks. I didn't see  
15 anything. But again, I haven't done a thorough literature  
16 search. It was just trying to look at the broad range.

17 CHAIRPERSON LUDERER: Okay. Thank you again.  
18 And we do have some public comments, I understand

19 MS. DUNN: One from the internet.

20 CHAIRPERSON LUDERER: Okay. Great.

21 All right. So this comment came in on the  
22 Internet from Megan Ekstrom.

23 She says, "Good afternoon. Thank you for the  
24 opportunity to submit public comments and  
25 questions regarding Biomonitoring California's

1 Scientific Guidance Panel meeting.

2 "The questions I have are specifically  
3 related to synthetic musks. The first question  
4 is can you please share which specific musks are  
5 of interest to OEHHA?

6 "Two, what is the basis of OEHHA's concerns -  
7 e.g. Scientific literature search for trace  
8 substances in human tissues including milk, human  
9 health, or environmental concerns?

10 "Three, how will you conduct biomonitoring  
11 and analysis?

12 "And 4, what will be done with the results?  
13 Will results be made public?

14 "Thank you."

15 Would you like to respond? I think you answered  
16 a lot of those questions in your presentation.

17 DR. KROWECH: Okay. Well, the first question was  
18 the only one I managed to write down, which was which  
19 musks are we interested in? And we're interested in all  
20 of the ones that I mentioned in this presentation.

21 What was the second question?

22 CHAIRPERSON LUDERER: So what is the basis,  
23 scientific literature search, human health concerns?

24 DR. KROWECH: Okay. The basis, just from this  
25 approach, was -- or is the widespread use, the fact that

1 many of them are found in people. They're  
2 bioaccumulative. And so that's the main concern. Okay.

3 CHAIRPERSON LUDERER: And I think the third  
4 question is how will you conduct biomonitoring and  
5 analysis? And that's obviously --

6 DR. KROWECH: I think that's another step.

7 CHAIRPERSON LUDERER: Thank you.

8 Dr. Wilson.

9 PANEL MEMBER WILSON: Mike Wilson.

10 You know, I think it -- sort of just echoing the  
11 sentiments of the Panel, I think this is a good target  
12 actually to be going after. One of the -- a project that  
13 we did for Senator Simitian's office was looking at  
14 chemical ingredients in consumer products, and the extent  
15 to which they are revealed or not. And one of the sort of  
16 continuing problems that you ran up against was that class  
17 of substances -- of musks, you know, for which there was  
18 no further information. You know, musks were just given  
19 as that generic term.

20 And so, in our mind, that didn't -- you know,  
21 didn't qualify for adequate transparency, because as  
22 you've demonstrated here, there are all kinds of, you  
23 know, problematic chemicals that followed in this class.  
24 And we found musks in a lot of different kinds of consumer  
25 products across, you know, different categories and so

1 forth, some of which we were surprised to find them in.

2           So I think this is, you know, really interesting  
3 and important work, and I think it's a good target for the  
4 Program to be going after.

5           So thank you for your work on this.

6           DR. MARTY: Can I answer Dr. Wilson's question?

7           CHAIRPERSON LUDERER: Absolutely.

8           DR. MARTY: Okay. This is Melanie Marty.

9           And so fortunately somebody had a device I could  
10 use. And, indeed, the hazard trait is associated with  
11 bioaccumulation is a log octanol-water partition  
12 coefficient greater than or equal to 4. So, yes.

13           And just another comment that something could  
14 have a high Log Kow, but not necessarily be persistent  
15 because of degradative processes that occur. So it's  
16 pretty dependent on the chemical structure.

17           PANEL MEMBER WILSON: I mean, that's -- so Mike  
18 Wilson. I guess it would be more a measure of  
19 bioaccumulation and you'd evaluate that with the other --  
20 with BCF and so forth.

21           DR. MARTY: Right.

22           PANEL MEMBER WILSON: Thank you.

23           CHAIRPERSON LUDERER: Do we have any other  
24 comments or questions from Panel members?

25           I think what I'm hearing from the Panel is that

1 there's a great interest in pursuing this further. And  
2 I've heard from several Panel members that we think that  
3 pursuing a designated chemical document for this class of  
4 compounds would be of great interest.

5 Is that it?

6 I think the next item on the schedule was a  
7 break, so we'll give our transcriptionist a break.

8 MS. HOOVER: Yeah. We're running a little early,  
9 but I think this is a good time for a 15-minute break, and  
10 then we'll continue after that.

11 So back at 2:45.

12 (Off record: 2:33 PM)

13 (Thereupon a recess was taken.)

14 (On record: 2:51 PM)

15 CHAIRPERSON LUDERER: Okay. Could everyone  
16 please take their seats, we'd like to get started again.

17 Okay. If everyone could please sit down, all the  
18 Panel members are here. Welcome you all back from break,  
19 and we'll move on to our final 2 -- 3 items of the  
20 afternoon. So the next agenda item is input on Scientific  
21 Guidance Panel agenda items for 2013. And Sara Hoover is  
22 going to be introducing that topic.

23 (Thereupon an overhead presentation was  
24 presented as follows.)

25 MS. HOOVER: Yeah. Hi. I'm Sara Hoover, Chief

1 of the Safer Alternatives Assessment and Biomonitoring  
2 Section in OEHHA.

3           And typically, you know, we informally consult  
4 with the Panel throughout meetings on possible agenda  
5 items, but we decided to, when we have the opportunity,  
6 take some time and ask for input from both the Panel and  
7 the public on the agenda items upcoming for next year.

8                           --o0o--

9           MS. HOOVER: So I'm going to just review some  
10 possible agenda items for SGP meetings just to sort of get  
11 the discussion going. And we really welcome, you know,  
12 any ideas beyond what are on these slides.

13                           --o0o--

14           MS. HOOVER: So we plan to continue the typical  
15 Program updates and laboratory updates. We're suggesting  
16 some possibility to look at Program planning issues, like  
17 Program sustainability. In terms of laboratory planning,  
18 at certain times, the Panel has actually kind of  
19 prioritized the priority list, so looked at the priority  
20 list and made suggestions about chemicals that you want  
21 the lab to start to pursue in terms of methods. So that's  
22 another option.

23           Of course, we'll continue to have ongoing  
24 presentations on Biomonitoring California results, as soon  
25 as they become available.

1           We're also going to bring to you, when this  
2 information is available, some post-results reporting. So  
3 there's going to be some follow-up in the firefighter  
4 population with the survey, and in the maternal and infant  
5 population with interviews.

6                           --o0o--

7           MS. HOOVER: So moving on to chemical selection.  
8 We'll continue some chemical selection activity. You've  
9 seen examples of that, of both of these items today, both  
10 screening for potential future consideration, and we could  
11 do -- we still have on our list to do selected pesticides  
12 from the California Department of Pesticide Regulation's  
13 top 100 list.

14           We also could suggest some other potential  
15 designated chemicals. So I mentioned earlier today that  
16 we could look at other bisphenol A isomers instead of just  
17 the p,p', and other derivatives that we're aware of being  
18 in use.

19           The Panel has actually already asked us to come  
20 back now with a potential designated chemical document on  
21 musks and possibly other fragrances.

22                           --o0o--

23           MS. HOOVER: And we plan -- so we had -- in past  
24 years, we've had many discussions with the Panel about  
25 potential priority chemicals. And typically we prepare a

1 table regarding the criteria for priority chemicals and  
2 the laboratory capacity.

3           So a couple of suggestions here. One is Dr. She  
4 mentioned that EHL can now measure additional metals, so  
5 we wanted to bring forward those additional metals to you  
6 and ask your opinion about are they a priority to include  
7 in studies. Another possibility might be to consider  
8 non-halogenated aromatic phosphates. And then we already  
9 heard from the Panel earlier that you do want us to bring  
10 forward the p,p'-bisphenols and diglycidyl ethers as  
11 possible priority chemicals.

12           And then we also hope to give you some more  
13 information about the website launch, the public input we  
14 receive, and the next steps.

15   --o0o--

16           MS. HOOVER: So with that -- oh, so actually one  
17 more item. Sorry. So the April meeting of the SGP was  
18 actually planned to coincide with BFR 2013. And we've  
19 been in touch with a couple of possible guest speakers.  
20 And topics that they could speak to are things like  
21 emerging issues in biomonitoring and exposures to novel  
22 flame retardants.

23           So these are just some possibilities we've come  
24 up with. And we're just really interested in hearing from  
25 the Panel, not just about these ideas, but your other

1 ideas and other follow up you want us to pursue.

2 CHAIRPERSON LUDERER: Okay. Thank you, Sara.  
3 Any initial questions or comments from the Panel members?

4 I have one question about the metals -- the  
5 additional metals, that was arsenic and the speciation,  
6 right, arsenic speciation and what other metals?

7 MS. HOOVER: Arsenic is already a priority  
8 chemical. So I'm actually talking, there's a number of  
9 designated metals that are not priority chemicals, a long  
10 list of designated metals. And actually the law asks the  
11 Program to ultimately be measuring all designated  
12 chemicals, but we want to bring to you -- and I think I've  
13 maybe alluded to, or possibly Dr. She has alluded to in  
14 the past, that when have -- you know, metals can be  
15 measured as a panel fairly easily. We are measuring a  
16 subset for certain projects that have identified as  
17 priority, and we're just very interested in the Panel's  
18 opinion about should we expand that metals panel. So  
19 that's what that's regarding.

20 CHAIRPERSON LUDERER: So that would be a  
21 presentation at another meeting where you would talk about  
22 what those metals were and we would --

23 MS. HOOVER: Exactly. Yeah. We would prepare  
24 the typical kind of table giving you a little bit more  
25 information about each metal and explaining what the

1 laboratory capacity is currently.

2 CHAIRPERSON LUDERER: Dr. Wilson, did you have a  
3 question?

4 PANEL MEMBER WILSON: Not yet.

5 CHAIRPERSON LUDERER: No, okay.

6 Any other Panel members have questions comments?  
7 Dr. Wilson.

8 PANEL MEMBER WILSON: Mike Wilson.

9 Sara, is there -- do you anticipate in this next  
10 year opportunities for doing an additional biomonitoring  
11 study on another group of -- you know, the population or  
12 group of people?

13 MS. HOOVER: So I would ask Dr. Lipsett to  
14 address that.

15 DR. LIPSETT: Our resources are already stretched  
16 really thin with 3 field studies, 2 of which the data have  
17 already been collected, but the chemicals are still being  
18 analyzed. And we have a third one with Kaiser, where  
19 we're going out into the field. This is the Expanded BEST  
20 study. That one was -- I guess the Program had planned to  
21 have another extension of that subsequently in the next  
22 year as well, but I think it's -- we may end up  
23 actually -- we haven't talked about it internally yet. I  
24 would like to combine those 2, just because it's so much  
25 effort to go out into the field.

1 I think, at this point, it's probably premature  
2 to think about planning for another field study.  
3 Although, there may be possibilities for doing some other  
4 in-house studies that I'll -- if -- I'll talk to you about  
5 at the next meeting, okay?

6 CHAIRPERSON LUDERER: And, Dr. Lipsett, actually  
7 maybe before you sit down, you also had mentioned this  
8 morning the possibility of using samples from the Newborn  
9 Screening Program. Is there a potential time frame for  
10 that? I mean, they're not available till 2013 you said?

11 DR. LIPSETT: We're waiting till the regs are  
12 finalized for the availability of those, but we're not  
13 even sure yet that we will be able to use them. It really  
14 depends on the results of the QC testing that ECL is  
15 doing. And that may turn out to be non-viable as an  
16 option.

17 And then I mentioned also as well, I'm starting  
18 to explore the feasibility of working with medical and  
19 nursing students. But having initiated contact with the  
20 administration at UCSF, I can assure you it's going to be  
21 a prolonged process before that happens, if it does.

22 CHAIRPERSON LUDERER: I'd be happy to talk to  
23 people at UC Irvine Medical School if the Program is  
24 interested.

25 DR. LIPSETT: Well, I may very well take you up

1 on that. Thank you.

2 CHAIRPERSON LUDERER: Dr. Wilson.

3 Oh, sorry.

4 Do the Panel members have any comments on the  
5 ideas that were presented for possible agenda items?  
6 Maybe you could run through the slides again just so  
7 everybody could refresh their memory about what those  
8 were, in terms of which, in particular, Panel members are  
9 enthusiastic about.

10 Dr. Wilson.

11 PANEL MEMBER WILSON: So between now and the next  
12 meeting in April, are the results from both the FOX and  
13 the MIEEP interviews going to be going out, do you  
14 anticipate that?

15 MS. HOOVER: I'm going to have to direct that  
16 question to Dr. Lipsett or someone else in DPH. The  
17 timing of -- you're saying the timing of the post-results  
18 reporting there?

19 PANEL MEMBER WILSON: Right, actually --

20 MS. HOOVER: Oh, when the results are being  
21 released.

22 PANEL MEMBER WILSON: Right. When the results  
23 will be released, both to the --

24 MS. HOOVER: Are you saying to the participants  
25 or beyond the participants?

1 MS. HOOVER: Both, to the participants but then  
2 also to the public. Yeah, we can speak to that. We do  
3 have timelines. I don't have them in my head, but --

4 DR. McNEEL: I'm Sandy McNeel with the California  
5 Department of Public Health.

6 We are in the process of going through IRB review  
7 for the second round of results return materials for the  
8 FOX project. We anticipate getting approval for those  
9 results by the end of December.

10 At this point, I'm a little unclear on when we  
11 will have the full extent of our second round of test  
12 results, including some of the urine chemicals. And Dr.  
13 She has, I think as I recall, mentioned that some of those  
14 may not be available until spring. So we may not be able  
15 to make our participants aware of their results until  
16 March or April, but that's kind of our next goal is once  
17 we have approval for the results return documents, and  
18 once we have our results in hand, then we'll be ready to  
19 ship those out to the participants.

20 But if there are some delays in getting results,  
21 for whatever reason, then it may not be until March or  
22 April before those go out to the participants. And then  
23 it's always a little after that that we are able to put  
24 something together for a public release of those results.

25 PANEL MEMBER WILSON: So it sounds like the

1 public release would happen after the next April meeting  
2 of the this Panel.

3 DR. McNEEL: I think that's probably likely

4 PANEL MEMBER WILSON: Okay.

5 DR. McNEEL: Yes, as -- Sandy McNeel again.

6 As far as the results for the MIEEP participants,  
7 we just sent their first set of chemicals out. We're  
8 trying to get the second set of chemicals out in January  
9 or February. So we may have some publicly available  
10 results from that particular project by the next meeting.  
11 But again, it will just depend on how the logistics go in  
12 the meantime.

13 Thank you.

14 CHAIRPERSON LUDERER: Dr. Alexeeff.

15 OEHHA DIRECTOR ALEXEEFF: Well, I'm not sure this  
16 is a good suggestion or not, but I'm wondering if  
17 there's -- when we're expecting releases of reports on  
18 NHANES, and if it makes sense to bring any new reports to  
19 the Panel's attention? So I don't know if we have any  
20 idea as to what the planning is or maybe that's just  
21 something that just happens.

22 DR. FENSTER: Hi. I'm Dr. Laura Fenster, and I'm  
23 an epidemiologist with the Program. I don't know how  
24 informative this is, but many of us are on the listserv  
25 for NHANES, so we get notification of releases. And then

1 we use that data for comparison.

2           So, for example, the PFC data was just released,  
3 so that's the data that we're using, you know, to look at  
4 the levels of PFC that were just determined in the data.

5           We could somehow notify the Panel of those  
6 releases, but it's really just getting on a listserv and  
7 then they let you know when their data sets are being  
8 released.

9           CHAIRPERSON LUDERER: Thank you.

10          Dr. Bradman.

11          PANEL MEMBER BRADMAN: I just have a couple  
12 comments. One, I know personally I'm really looking  
13 forward to information on the analyzing the participant  
14 understanding of return results. And I know that's  
15 already on the agenda. But just to kind of highlight  
16 something, I think we're looking forward to it. It's the  
17 topic of a lot of discussion over the past years.

18          Also, how to combine and aggregate results from  
19 the different community-based studies, and maybe some  
20 discussion about how and if and, you know, that can be  
21 compared to national data and statewide data. You know,  
22 we have this ongoing issue of wanting to do a statewide  
23 representative survey, but we're not able to do that, so  
24 maybe some discussion about, you know, how we can  
25 interpret the data we do have.

1           And I know some of the information, for example,  
2 that went into the reports, you know, it's very basic  
3 because of this concern about releasing information on a  
4 pre-publication basis, but it would be great if we could  
5 have some sort of aggregate or overall view of what's been  
6 found. And because publications can take so long, we  
7 might think about how we can present the data in a more  
8 concrete form that, you know, would be acceptable to some  
9 of the PIs on some of the subprojects. A potential topic  
10 for discussion next year.

11           CHAIRPERSON LUDERER: Dr. Quint.

12           PANEL MEMBER QUINT: This is Julia Quint. I  
13 would also be interested in -- and you do this on an  
14 ongoing basis at meetings. When you do pilot studies, you  
15 talk about, or you present, how these can inform a more  
16 representative statewide biomonitoring, you know, effort,  
17 should we have the resources to ever do that.

18           And I would just like to see, in maybe one  
19 presentation, you know, how the results of how the pilot  
20 studies have informed the larger study. You know, it  
21 ranges from sample collection. I mean, each one of the  
22 pilots you've been very careful to point out how that will  
23 inform -- you know, what, of that pilot study, will inform  
24 a larger study.

25           And it would be nice to see that in all -- you

1 know, as these studies are completed, to have all of those  
2 lessons learned, for lack of a better term, presented as a  
3 whole, because I think you've made such maximum use of  
4 resources by doing these smaller studies, even though the  
5 Program was designed to do, you know, a statewide sample.

6 So, however that could be done, you know, to take  
7 a look back at what you've actually learned along the way  
8 from these would be very informative, because, you know,  
9 we could tomorrow have the money to do a statewide sample.  
10 I don't think that's likely.

11 (Laughter.)

12 CHAIRPERSON LUDERER: Dr. Wilson.

13 PANEL MEMBER WILSON: So following up on that.  
14 Yeah. Mike Wilson. I had earlier mentioned, you know,  
15 the story of speaking with a room full of steel workers,  
16 and that their real interest in the end was around  
17 biomonitoring studies, and the findings in umbilical cord  
18 blood.

19 And I'd asked Amy Dunn, you know, to sort of --  
20 can we put this on the website, resources for workers, you  
21 know, on the biomonitoring website? And it sort of grows  
22 out my perennial interest in trying to bridge some of  
23 these, you know, disciplines.

24 And so I guess I want to just say again that I  
25 actually recognize that that's a larger project than

1 simply putting a button up on a website, that it actually  
2 requires some resources from OEHHA to, you know, figure  
3 out how to communicate this information to -- that would  
4 be -- in a way that would be meaningful to workers.

5           And I think, you know, you've done some of this  
6 work. In fact, I think you know, the report that you and  
7 Dr. Quint did looking at the Prop 65 chemicals that were  
8 relevant to occupational exposures, it's the same kind of  
9 thing. I think that made an enormous contribution, the  
10 report that you did around Prop 65 chemicals.

11           I think it would -- you know, it would make a  
12 similar contribution to do the same sort of thing around  
13 biomonitoring and begin that with this sort of resource  
14 link on the website that would, you know, perhaps identify  
15 substances that are likely to be used in workplaces, used  
16 in products, and overlay those against NHANES data, and  
17 have something up there about the problem of occupational  
18 exposures, the intensity and duration and so forth of  
19 exposures as a place to begin.

20           And so I guess my point here is I realize in  
21 making that request to Amy that it's actually a larger  
22 suggestion or recommendation to OEHHA to take that on as  
23 a, you know, possible project.

24           MS. HOOVER: Yeah. I mean, that was a really  
25 interesting project that Julia and I worked on together.

1 And I have been getting various indications that it would  
2 be useful to update that work, and revisit it. So that  
3 would be an interesting way to do it with the intersection  
4 with biomonitoring.

5 PANEL MEMBER WILSON: As I said earlier, I'd be  
6 happy to help with that in whatever way would be useful.

7 CHAIRPERSON LUDERER: Dr. Quint.

8 PANEL MEMBER QUINT: Yeah. Julia Quint.

9 I think some of the issues, you know, related to  
10 work -- biomonitoring in an occupational setting are very  
11 different. And we had a whole session where we talked  
12 about some of those. But just in communicating results  
13 and the prior biomonitoring that's been done in workers,  
14 the Biological Exposure Indices. They're just a number of  
15 different things, so it would require, you know, probably  
16 some significant effort to do that. And I think there's  
17 probably a lot of support, certainly a lot of need for it.

18 But I think in term of, you know, one of the  
19 things you brought up about guest speakers, I think one of  
20 the ways that we could start to make this very important  
21 integration of biomonitoring, what's going on here, with  
22 some of the other programs like -- that are dealing with  
23 toxic chemicals as a focus of the programs, is by having,  
24 you know, some sharing, having them -- you know, people in  
25 DTSC, like Debbie Rafael, come and talk about the Safer

1 Alternatives Program.

2           Where I see a lot of overlap in terms of, you  
3 know, what we're doing, in terms of emerging toxicants,  
4 how you have to be very careful about the safer, you know,  
5 substitutes, whether or not they're really safer. So some  
6 cross-pollination with other Departments, through maybe  
7 having them present here, so we can see where the  
8 similarities are and where there's a potential for nexus  
9 between some of the directions, I think, would be very  
10 important. The Cosmetics Program, Michael DiBartolomeis's  
11 program, I think is a really important for us to hear what  
12 that regulation has -- you know, what's happened as a  
13 result -- as a result of the Safe Cosmetics Act, and  
14 whether or not they're emerging things that are coming  
15 onto the market as, you know, the Prop 65 chemicals in  
16 some cosmetics are being monitored more carefully.

17           I would also like to see, you know, Thu Quach of  
18 the Nail Salon Collaborative who's doing research in that  
19 group, really important intersection between employers and  
20 employees in a special -- you know, in the Vietnamese  
21 community, and how, you know -- also with a chemical  
22 focus, but doing some extra research, which I think would  
23 be important for us to hear about and learn about.

24           So through the guest speakers I think we could,  
25 you know, sort of build some bridges with other efforts,

1 and see where there's a possibility that we might join  
2 forces. The same with the CARB -- safer -- their consumer  
3 products regulation. I think there's a lot of opportunity  
4 there as they ban the chlorinated hydrocarbon solvents in  
5 a number of consumers products. Other chemicals will pop  
6 up.

7 And they do surveys where they have an  
8 opportunity to see -- have more detailed data on some of  
9 these products. So I think would be an important -- for  
10 us to keep track of those and to see where there's chances  
11 for collaboration or extension of their efforts.

12 CHAIRPERSON LUDERER: Dr. McKone.

13 PANEL MEMBER MCKONE: Well, I just want to second  
14 that point, and kind of expand it a bit. I do think one  
15 of our important opportunities here is to watch -- not be  
16 looking backwards, but look forward and really try to keep  
17 track of what's coming into the marketplace, and getting  
18 lined up to see it happening, as opposed to just always  
19 being reacting and finding out, "Oh, NHANES found this, so  
20 we should do it".

21 So, you know, again, it's a lot of work, because  
22 it really means looking at how products are changing, and  
23 it's difficult to get that information, but I think it is  
24 a very important use of this kind of -- not just seeing  
25 what's there, but watching how it evolves. Because

1 biomonitoring, one of the best things you can do with it  
2 is see trends. I mean, not often, you can't always do  
3 absolutes. You can't always do a good health study. But  
4 if it's done well, it actually can really see trends from  
5 year to year, or from group to group and as they change.

6 I don't want to expand too much, but I think  
7 learning more about how to do that is something we  
8 really -- we can use some of our meeting time to hear some  
9 ideas in those areas about how to anticipate.

10 MS. HOOVER: So I just moved the slide, with  
11 that, to this issue of screening. So if -- I don't know  
12 if any of you have thoughts today, but other kinds of  
13 emerging chemicals are things for us to start looking at.

14 You don't have to give us the input today. You  
15 know, feel free to email us or -- if you have thoughts  
16 now, though, we'd love to hear them.

17 CHAIRPERSON LUDERER: Well, a related kind of  
18 topic, which we have talked about before, and we heard a  
19 presentation at a Scientific Guidance Panel meeting awhile  
20 ago, was about this idea of screening biospecimens for  
21 unknown compounds. You know, I know there's really a lot  
22 of enthusiasm, you know among the Panel, and I think  
23 that's something that we shouldn't abandon that  
24 possibility.

25 MS. HOOVER: Yeah. No, I -- yeah, that's

1 definitely still a forward focus.

2 So, Dr. Lipsett.

3 DR. LIPSETT: Yeah, in the funding that we have  
4 been getting under the Cooperative Agreement -- Michael  
5 Lipsett, Department of Public Health.

6 The funding we've been from getting from the CDC  
7 under their cooperative agreement, calls for the purchase  
8 of a TOF for a ECL for next year. And so this will be one  
9 of the focuses of the Program going forward.

10 You know, it's not a straightforward process, but  
11 we will at least have the instrumentation available to at  
12 least initiate this process.

13 CHAIRPERSON LUDERER: Dr. Wilson.

14 PANEL MEMBER WILSON: Mike Wilson.

15 I'm sort of riffing on Dr. Quint's point about  
16 the other, you know, BDO's, and also Occupational Health  
17 Branch outside of this agency and the work that they're  
18 doing, I think, would -- you know is relevant and would be  
19 of interest to the Panel.

20 And I don't know if that -- if the appropriate  
21 venue would be an actual, you know, session like this or  
22 if we would attend something that OEHHA would host.

23 But in addition to the -- it was ARB. I think  
24 you mentioned ARB, DTSC, and Occupational Health Branch,  
25 specifically the cosmetics group. You know, CalEPA's

1 Environmental Justice working group that is really  
2 struggling with the -- how to assess cumulative exposures  
3 and so forth.

4 In a similar way, you know, that's -- I think  
5 that's of interest to the Panel. It would be of interest  
6 to the Panel. And they might have some very interesting  
7 ideas about things that the Biomonitoring Program, you  
8 know, could or should be focusing on.

9 MS. HOOVER: Okay.

10 CHAIRPERSON LUDERER: Actually, I just did have  
11 one question about one of the other items, I think, on the  
12 other slide about the Program sustainability and whether  
13 one of the things we should be thinking about -- I mean,  
14 you know, the CDC grant has a finite funding period, and  
15 whether that's something that we should, you know, be  
16 discussing at a Panel meeting in the near future.

17 MS. HOOVER: Yeah. I mean, that was the  
18 highlight here on Program sustainability, exactly that.  
19 So, yeah, I think the answer to that is yes.

20 CHAIRPERSON LUDERER: Dr. Wilson.

21 PANEL MEMBER WILSON: So one other thing on that.  
22 On your second slide about the pesticides, I mean, one of  
23 the things that I think we were contending with earlier  
24 was the changing nature of that set of 100 -- you know,  
25 sort of top 100 pesticides. And are you sort of

1 continuing to track that information from the pesticide  
2 use reports through DPR?

3 MS. HOOVER: Yeah.

4 PANEL MEMBER WILSON: Yeah, I mean, I think that  
5 would be -- I would be interested in hearing, you know,  
6 what your sort of understanding of that set of substances  
7 is, and, you know, how it's changed even since, you know,  
8 the Program -- the Biomonitoring program began, and if  
9 there are, you know, a handful of those top 100 that we  
10 should be looking at, you know, that have, you know,  
11 surfaced or, for whatever reason, are, you know, emerging.

12 MS. HOOVER: Yeah. We're definitely keeping an  
13 eye on that. And that's -- we continue to highlight this  
14 as an important item.

15 Okay.

16 CHAIRPERSON LUDERER: Great. Are there further  
17 comments from Panel members?

18 Do you feel like you've gotten sufficient  
19 feedback on the topic from us?

20 MS. HOOVER: Yeah, I mean, this is great. So  
21 again, the conversation is not closed and maybe you could  
22 also check if there's public comment on this item.

23 CHAIRPERSON LUDERER: Yeah. Do we have any  
24 public comment on this item?

25 MS. DUNN: I don't believe there's any.

1           CHAIRPERSON LUDERER: We do have time allotted  
2 now for open public comment period. Did we have any  
3 requests for that as well.

4           MS. DUNN: No.

5           CHAIRPERSON LUDERER: All right.

6           MS. HOOVER: Well, there you have it. So again,  
7 we'd just encourage if -- I know that sometimes, you know,  
8 in a meeting you can't necessarily think of things, so if  
9 the Panel or the public have ideas and -- we continue to  
10 receive ideas that we follow up on, so we just really  
11 encourage additional feedback on the agenda items and just  
12 the Program in general?

13           CHAIRPERSON LUDERER: Thank you.

14           So then if we have no additional public comments,  
15 the -- we are ready to adjourn and wrap up the meeting.  
16 And I just wanted to remind everyone again that there will  
17 be a transcript of this meeting available on-line,  
18 hopefully in about a month. And our next meeting will be  
19 on April 11th, which is a Thursday. And this will be in  
20 Oakland. So that will be in the Elihu Harris State  
21 Building in the auditorium there.

22           So I look forward to seeing everyone there and  
23 thank you all for coming today, for a very interesting and  
24 productive meeting.

25           And with that, the meeting is adjourned.

1 Thank you.

2 (Thereupon the California Environmental  
3 Contaminant Biomonitoring Program, Scientific  
4 Guidance Panel meeting adjourned at 3:23 p.m.)  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

