

MEETING  
STATE OF CALIFORNIA  
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT  
ENVIRONMENTAL CONTAMINANT BIOMONITORING PROGRAM  
SCIENTIFIC GUIDANCE PANEL

CAL/EPA HEADQUARTERS BUILDING  
BYRON SHER AUDITORIUM  
1001 I STREET  
SACRAMENTO, CALIFORNIA

THURSDAY, NOVEMBER 14, 2013  
10:00 A.M.

JAMES F. PETERS, CSR, RPR  
CERTIFIED SHORTHAND REPORTER  
LICENSE NUMBER 10063

A P P E A R A N C E S

PANEL MEMBERS:

Ulrike Luderer, Chairperson, M.D., Ph.D.

Asa Bradman, M.S., Ph.D.

Oliver Fiehn, M.S., Ph.D.

Marion Kavanaugh-Lynch, M.D., M.P.H.

Thomas McKone, Ph.D.

Julia Quint, Ph.D.

Penelope (Jenny) Quintana, Ph.D., M.P.H.

Michael P. Wilson, Ph.D., M.P.H.

CALIFORNIA ENVIRONMENTAL PROTECTION AGENCY:

Dr. Gina Solomon, Deputy Secretary, Science and Health

OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT:

Dr. George Alexeeff, Director

Dr. Lauren Zeise, Deputy Director, Scientific Affairs

Ms. Fran Kammerer, Staff Counsel

Dr. Gail Krowech, Staff Toxicologist, Safer Alternatives Assessment and Biomonitoring Section

Dr. Laurel Plummer, Associate Toxicologist, Safer Alternatives Assessment and Biomonitoring Section

Dr. Michael J. DiBartolomeis, Chief, Exposure Assessment Section, Environmental Health Investigations Branch

A P P E A R A N C E S C O N T I N U E D

DEPARTMENT OF PUBLIC HEALTH:

Dr. Laura Fenster

Ms. Duyen Kauffman

Dr. Jianwen She, Chief, Biochemistry Section,  
Environmental Health Laboratory

Ms. Alanna Viegas

DEPARTMENT OF TOXIC SUBSTANCES CONTROL:

Dr. Myrto Petreas, Chief, Environmental Chemistry Branch

ALSO PRESENT:

Mr. Davis Baltz, Commonweal

Ms. Nicole Quinonez, International Fragrance Association  
of North America

I N D E X

	PAGE
Welcome	
George Alexeeff, Director, Office of Environmental Health Hazard Assessment (OEHHA)	1
Overview of the Meeting	
Ulrike Luderer, Chair, Scientific Guidance Panel (SGP)	3
Laboratory Update	
Presentation: CDPH	6
Panel Questions	16
Presentation: Department of Toxic Substances Control (DTSC)	20
Panel Questions	31
Public Comment	31
Panel Discussion	32
Program Update	
Presentation: California Department of Public Health (CDPH)	37
Panel Questions	52
Public Comment	65
Panel Discussion	68
Afternoon Session	73
Potential Designated Chemicals: Selected Aroma Chemicals	
• Synthetic polycyclic musks	
• Tetramethyl acetyloctahydronaphthalenes	
Presentation: OEHHA	73
Panel Questions	86
Public Comment	101
Panel Discussion and Recommendations	110
Workflow to Screen for Previously Unknown Environmental Contaminants in Biological Samples	
Presentation: Oliver Fiehn, SGP Member	114
Panel Questions	137
Public Comment	153
Panel and Audience Discussion	153
Open Public Comment Period	158

I N D E X   C O N T I N U E D

	PAGE
Wrap up and Adjournment	167
Adjournment	167
Reporter's Certificate	168

## 1 P R O C E E D I N G S

2 DIRECTOR ALEXEEFF: Good morning, everyone. I'm  
3 George Alexeeff, Director of the Office of Environmental  
4 Health Hazard Assessment. Welcome you all here to the  
5 Scientific Guidance Panel for the California Environmental  
6 Contaminant Biomonitoring Program, affectionately known as  
7 Biomonitoring California.

8 Now, I want to thank the Panel and the public for  
9 their participation in this important meeting. And I just  
10 want to remind everybody that the meeting is being  
11 transcribed, as well as being broadcast via webinar. And  
12 so that if you are going to speak, please speak clearly  
13 into the microphone, so that our transcriber can hear you  
14 and so that those out in webinarland can also hear you.

15 I wanted to make -- well, first of all, I should  
16 probably mention about the basic details about exits and  
17 fires. So my first job, after I got my graduate degree,  
18 was working in a fire technology program at Weyerhaeuser  
19 Company. So every time I went to the -- a hotel with my  
20 boss, we had to check all the fire escapes.

21 Anyway, so I happened to check the fire escape  
22 today by accident, but it is functional over here, the  
23 fire escape. And then there are two escapes in the back  
24 over there, out the door to the left. And the restroom is  
25 out the door and to the left.

1           So I wanted to announce that Dr. Michael Lipsett  
2 is retiring at the end of 2013. And I wanted to  
3 acknowledge him for his years of service. And, you know,  
4 in fact, it's probably -- maybe has been mentioned that he  
5 hired me into State service, so I have a lot to thank him  
6 for. But especially for his more recent work and guidance  
7 over Biomonitoring California, which I know he was very  
8 passionate about.

9           One of the two things he was passionate about is  
10 Biomonitoring California and air pollution studies. I  
11 think those were his two great passions. Anyway he was  
12 planning on making it here today, but he was unable to.  
13 So we're hoping at a future meeting, probably in March,  
14 to, you know, thank him personally and acknowledge, you  
15 know, the specific contributions that he's made to this  
16 program and to State service. So I think I'll wait until  
17 that meeting to do that.

18           So at our last Scientific Guidance Panel meeting,  
19 it was held in Oakland in August 14th. And that was at a  
20 fantastic location. It was a wonderful location. And we  
21 reviewed the status of the current Biomonitoring  
22 California projects. We discussed the Program's new  
23 direction of screening for unknowns and provided initial  
24 input on strategy. We'll talk more in detail about that  
25 at this meeting.

1           Four pesticides were screened as possible  
2 candidates for biomonitoring in California in the future.  
3 And the Panel recommended that the Program prepare  
4 documents to support the consideration of all four  
5 pesticides as potential designated chemicals in the  
6 following order: Imidacloprid, glyphosate, glufosinate  
7 ammonium, and propanil.

8           We heard about something dear and close to my  
9 heart, CalEnviroScreen. It's a tool that was developed by  
10 OEHHA to evaluate environmental exposures in California  
11 communities and provided suggestions for future versions.  
12 And the Panel also discussed ways that CalEnviroScreen  
13 could inform future Biomonitoring California studies.

14           It's an interesting thing, in that both of those  
15 projects, Biomonitoring California and CalEnviroScreen,  
16 were sort of started around the same time without exact  
17 knowledge of where they would go. And so they've both  
18 made great strides.

19           For more information on the August meeting, you  
20 can -- including the transcript and a full summary for  
21 that meeting, you can visit the biomonitoring website.

22           So I think I'd like to now turn the meeting over  
23 to Dr. Luderer.

24           CHAIRPERSON LUDERER: Thank you, George. I'd  
25 also like to welcome everyone, members of the public,

1 Program staff and the Panel members. I wanted to let the  
2 remote participants, people who are interested in  
3 participating remotely, know that there are actually two  
4 systems that can be used today. So there's video webcast,  
5 which is -- there's a link to how to do that on the  
6 website for the Program. And there's also a webinar  
7 option, where you see the slides just with audio via Live  
8 Meeting. And you can also find a link to how to access  
9 that on the Program website.

10 So I'd like to just briefly go over the Panel  
11 goals for the meeting and talk about how we're going to  
12 handle public comment. So our goals for the meeting are  
13 to receive Program and laboratory updates and to provide  
14 input, to consider two structurally related classes of  
15 aroma chemicals as potentially designated chemicals, and  
16 those two are synthetic polycyclic musks and tetramethyl  
17 acetyloctahydronaphthalenes.

18 And we'll hear from Panel Member Oliver Fiehn  
19 about identifying novel compounds in untargeted  
20 metabolomic screens as well this afternoon.

21 For each of the agenda topics, we'll -- there  
22 will be time for Panel questions, public comment, as well  
23 as Panel discussion and recommendations. And I just  
24 wanted to let everyone know how we'll be handling the  
25 public comment. If a member of the public would like to

1 make a comment, he or she should fill out a comment card.  
2 And that can be obtained from Duyen Kauffman, who's  
3 holding up those blue cards there. And members of the  
4 public who are not at the meeting in-person are invited to  
5 provide comments via email. Biomonitoring California  
6 staff will provide those emailed comments to me, so that I  
7 can read them allowed during the meeting.

8 We will again divide up the public comment time  
9 by the number of individuals who wish to speak, so that we  
10 can make sure that we proceed on schedule and that all the  
11 commenters have the opportunity to speak.

12 So please also keep your comments focused on the  
13 agenda items that are being presented, and we'll have an  
14 open public comment period at the end of the day at which  
15 members of the public can bring up any topic related to  
16 biomonitoring.

17 I also wanted to remind again, everyone please  
18 speak into the microphones and introduce yourself before  
19 speaking. This is for the benefit of people participating  
20 via the webcast as well as for our transcriber.

21 The materials for this meeting were provided to  
22 Scientific Guidance Panel members and posted on the  
23 Biomonitoring California website prior to the meeting  
24 today. There are also a small number of copies on the  
25 table in the back of the room, and one sample scientific

1 guidance folder for viewing also on that table.

2 We're going to take two breaks today, one around  
3 noon time for lunch, and another around 2:45 in the  
4 afternoon.

5 So there's been a slight change to the agenda  
6 this morning. Dr. DiBartolomeis is running into traffic,  
7 and so we're going to have the laboratory updates first,  
8 and then the Program update. So that's a little bit  
9 different than the agenda that was on the website.

10 So I'd like to start out by introducing the two  
11 speakers for -- who are going to be giving us laboratory  
12 updates, Dr. Jianwen She, who's the Chief of the  
13 Biochemistry Section in the Environmental Health  
14 Laboratory Branch at CDPH, and Dr. Myrto Petreas, the  
15 Chief of the Environmental Chemistry Branch in the  
16 Environmental Chemistry Laboratory in Department of Toxic  
17 Substances Control.

18 So Dr. She.

19 (Thereupon an overhead presentation was  
20 presented as follows.)

21 DR. SHE: Good morning and welcome, members of  
22 the Panel and audience. First, I also want to thank Mike  
23 Lipsett -- Dr. Mike Lipsett for his leadership. And as  
24 George said, coincidentally he also is the one who hired  
25 me into this position. He's not one the who hired me into

1 the State service, but he hired me into the position with  
2 Dr. Peter Flessel and Dr. Jed Waldman. So I personally --  
3 and I also want to thank him for his leadership. I did  
4 learn a lot from him. I hope I can follow his examples to  
5 make good contribution to the Program.

6 --o0o--

7 DR. SHE: Today, I will provide updates for  
8 Environmental Health Laboratory. This includes recent  
9 phthalate metabolite method update, project sample  
10 analyses status, preliminary FOX study results, BPA analog  
11 method development, a few recent publications, and finally  
12 our future work.

13 --o0o--

14 DR. SHE: In the past, I have talked about  
15 automation of sample preparation for certain methods to  
16 increase sample throughput. Most recently, we have  
17 upgraded our phthalate metabolite method to an on-line or  
18 automated sample preparation system. There are many  
19 differences between the manual and automated sample  
20 preparation. Our goal was to increase sample throughput  
21 and the overall efficiencies of the method.

22 To accomplish this, we modified our existing  
23 HPLC-MS/MS system with additional equipment. There are  
24 many positive aspects to an on-line system. For example,  
25 this has increased sample throughput, no more time

1 consuming and labor intensive sample processing. This is  
2 all done automatically in a closed system, thus reducing  
3 the risk of potential contamination.

4 The amount of sample required for analysis has  
5 also been reduced from one milliliter to 300 microliters.  
6 A lot of benefit to the on-line system is that it's cost  
7 effective. Lastly, the on-line system has increased  
8 sensitivity and has lowered the detection limit.

9 In the future, we hope to validate on-line SPE  
10 sample preparation for other existing methods, like  
11 environmental phenol or specific OP metabolite method.

12 --o0o--

13 DR. SHE: Another recent update to this method is  
14 the analyte panel has been expanded. In the past, as you  
15 can see, we can measure these six phthalate metabolites.  
16 But with this on-line system, we added four more  
17 metabolites. And the last column of the table shows the  
18 parent compound of metabolites. So currently, we can  
19 measure 10 metabolites for the phthalate.

20 The four new analytes are MEHHP, MIPB, MEOHP,  
21 MEHP. All 10 analytes EHL can measure are on the  
22 designated list. As you will notice, the new analytes are  
23 mostly coming from the parent compound DEHP. DEHP is a  
24 plastic-softening phthalate, and is highly lipophilic.  
25 DEHP is used in products like food packaging, toys,

1 medical equipment, PVC piping. And animal studies show  
2 that high level exposure to DEHP can damage the liver,  
3 kidney, and the reproductive system. All of the four  
4 analytes to measure the exposure of DEHP is important.

5 We are looking forward to updating the Panel in  
6 the future with study results from all 10 analytes.

7 --o0o--

8 DR. SHE: Since last SGP meeting, we have  
9 submitted the majority of the Pilot BEST results to the --  
10 to EHIB for the result and release it to the participants.  
11 So we -- as a reminder, we only needed to focus on the  
12 last column, that's the Pilot BEST. We already talked  
13 about MIEEP and FOX in the previous meetings.

14 The box shaded in green indicate that analysis is  
15 complete, and that the data results have been submitted to  
16 EHIB. The box shaded in yellow indicate that either  
17 samples are currently being analyzed or the data is under  
18 review. Creatinine, phthalates, OP specific metabolites,  
19 hydroxy-PAHs, and metals in urine have all been released  
20 since last meeting.

21 Please note that the samples are only analyzed  
22 for arsenic species if total arsenic level were found to  
23 be above 20 ppb. Pilot BEST analysis of the 29 samples  
24 for speciation is complete and the data is currently under  
25 review. We hope to release this data along with the

1 environmental phenol and the perchlorate data to EHIB by  
2 the end of the year.

3 We encountered some small problems with  
4 environmental phenol, so we rerun most of samples. That's  
5 why we need extra time.

6 --o0o--

7 DR. SHE: This slide graphically shows the  
8 geometric mean comparison of the metals in urine between  
9 the FOX project compared to NHANES data from 2009 to 2010  
10 for adult men over the age of 20. For the FOX project, we  
11 analyzed 101 urine samples for four metals. Only three of  
12 the four metals we measured are shown here because the  
13 detection frequency for manganese was roughly nine percent  
14 in FOX cohort.

15 Both total arsenic and mercury were detected in  
16 100 percent of the participants, while cadmium was  
17 detected in about 70 percent of the participants. FOX  
18 mercury data could not be compared to NHANES because of  
19 the detection frequency for that population was too low  
20 for the NHANES. These results are based on preliminary  
21 analysis, but we do not notice a significant difference  
22 between the two studies.

23 --o0o--

24 DR. SHE: The next few slides I'll talk a little  
25 bit about arsenic analysis and the speciation. As I



1 for this five DMA. So it was dominate species.  
2 Generally, DMA is the most frequently excreted urinary  
3 arsenic metabolite, and this species ends up being the  
4 greatest contributor to the inorganic urinary arsenic  
5 levels. This is consistent with CDC's finding.

6 A follow-up survey was offered to the five  
7 participants with urinary inorganic arsenic greater than  
8 20 ppb. The survey results are currently being reviewed.

9 --o0o--

10 DR. SHE: CDC has established the level of  
11 concern for total arsenic to be greater than 50 ppb. So  
12 to use this criteria, we found two participants the total  
13 arsenic level is greater than 50 ppb. And for this two  
14 participants, arsenobetaine and arsenocholine was a major  
15 contributor to the total level. And we think the recent  
16 fish or seafood consumption is likely source of this  
17 organic species. A notification letter informing them of  
18 their elevated levels and that is likely due to the  
19 consumption of recent seafood meal will be sent with their  
20 results to these two participants.

21 --o0o--

22 DR. SHE: In last SGP meeting, we also talked  
23 about Environmental Health Laboratory is developing  
24 bisphenol A analog method. So here the slide shows four  
25 analogs include BPA substitute. So I like to give a

1 little bit more update about this method.

2 --o0o--

3 DR. SHE: Currently, we validated this method.  
4 We only analyzed six sets of samples, as we talked before  
5 in the past, to test the measures of robustness and the  
6 precision, we need at least to run 20. So this is very  
7 early report.

8 We prepared three levels of the quality control  
9 samples at 1 ppb, 10 ppb, and 50 ppb. So the column 3  
10 shows the precision for 10 ppb and 50 ppb. Usually, we  
11 require at least -- we can get a precision better than 20  
12 percent. So you can notice for BPS, the last row at 20  
13 ppb, we have 27, which is slightly higher than we like to  
14 see.

15 Also, for the second row for the chemical BPAF,  
16 for 50 ppb, somehow we get 25. So the precision is not  
17 that great yet. And also the -- but for the accuracy,  
18 this is relative recovery, by the way. General acceptance  
19 is 70 to 130, so we are over the accuracy, right. So for  
20 the QC sample at 1 ppb we have some contamination issues  
21 withstanding the result. Other challenging part, there  
22 are no commercial available isotopes labeled standard. We  
23 consider that maybe also a reason why sometime we do not  
24 get good the precision. We use a surrogate standard which  
25 is an isotope labeled BPA for all of the four other ones.

1 But this surrogate data may not be able to compensate the  
2 loss or contamination introduced through the process. So  
3 we tried to resolve this issue a little bit further.

4 We are looking forward to updating the Panel in  
5 the future, and -- but the method is hopeful after we have  
6 run 20. And at the same time, if we're able to procure  
7 the new standards, we have better precision for the real  
8 sample analysis.

9 --o0o--

10 DR. SHE: In addition to our routine analysis, we  
11 also try to publish the method. Since 2013, we have four  
12 publications. Usually, we focus on analytical result,  
13 and -- sorry, analytical method itself. So we hope, in  
14 the future, we can work with our -- within the Program  
15 with the PIs to publish analytical results more, because  
16 analytical results required to give to participant and the  
17 many other issues, so laboratory cannot allow to go to  
18 publish them alone. So, however, the publication you can  
19 see is an analytic method.

20 For example, we also -- we did like a matrix  
21 effect analysis and published two. And today, we will  
22 hear Dr. Fiehn talk about unknown analysis. So we have  
23 published some papers also in the area. And we have one  
24 publication accepted for -- so, at this moment, it is in  
25 press. So I hope we can in the year -- in the future



1 very interesting. Also, great to hear that the DEHP and  
2 DIBP have been added as well, and the new environmental  
3 phenols development as well.

4 Do we have any clarifying question before Dr.  
5 Petreas starts? We'll have time for discussion  
6 afterwards, but if there are clarifying questions from  
7 Panel members.

8 Dr. Wilson.

9 PANEL MEMBER WILSON: Thank you, Chair. And  
10 again, congratulations also. It's really help -- I'm  
11 glad to see the lab publishing your methods and so forth.  
12 So I had a clarifying question on the facts -- the FOX  
13 results for metals in urine. And maybe I didn't -- maybe  
14 I didn't understand your explanation, but that the -- you  
15 had findings of mercury in 100 percent of the  
16 participants. And that's against NHANES, where there  
17 are -- there was no mercury detected. And just a little  
18 more explanation would be great. And do you have a sense  
19 from that study -- you know, from this work what the  
20 source of that mercury would be in this population?

21 DR. SHE: That's an area I'm scared you're  
22 asking. For the analytical result part, I think as the  
23 party prepared more on this part and mercury result is  
24 this -- Alanna, you want to talk.

25 Sara is not here, but Alanna works with Sara, so

1 she may have some.

2 MS. VIEGAS: Hi. I'm Alanna Viegas, sample  
3 management for Biomonitoring.

4 We were unable to compare our FOX study to  
5 NHANES, because the detection frequency for that year was  
6 unavailable and so it wasn't appropriate to include the  
7 numbers. The fear was that the detection frequency was  
8 below 50 percent, and so the geometric mean would not make  
9 sense to calculate and put up there. Does that answer  
10 your question?

11 PANEL MEMBER WILSON: You mean the geometric mean  
12 for NHANES?

13 MS. VIEGAS: NHANES, yes.

14 PANEL MEMBER WILSON: Okay. Thank you.

15 DR. SHE: I'm not sure about the source. Has  
16 anyone in our team analyzed the source of mercury or  
17 reconsidered the sources?

18 So if no one knows for sure at this moment, I  
19 guess we take notes about this to see if we're able to  
20 finish this to analyze the questionnaire data and the  
21 survey results to find out where the possible source of  
22 the mercury comes from.

23 CHAIRPERSON LUDERER: Did you do blood mercury as  
24 well in the FOX, remind me?

25 DR. SHE: Yes, we did it, and then we tried to do

1 a correlation analysis. And then I ask Alanna, and we  
2 tried to do it, but we are told we cannot present that. I  
3 did -- I think that's publication issues that the PIs  
4 prepare. So we tried to look for correlation between the  
5 blood in mercury and the urine.

6 CHAIRPERSON LUDERER: Right, because comparing  
7 the blood and the urine can be helpful, because the blood  
8 is more indicative of organic mercury exposure, so --  
9 which would be most likely to be from seafood. So that  
10 can be helpful in trying to sort out what the source of  
11 the mercury is.

12 DR. SHE: That's very good input. I think we'll  
13 work with PI. We need to finish this correlation  
14 analysis. So maybe give -- shed light what the source of  
15 mercury is.

16 CHAIRPERSON LUDERER: Thank you.

17 Dr. Fiehn, another clarifying question.

18 PANEL MEMBER FIEHN: Yeah. You know, whenever  
19 you change methods, and they become more sensitive and  
20 more robust and more automated which is a great thing to  
21 do, because it also will lower costs and increase  
22 throughput and all this, but it's very important to also  
23 validate and establish that method blanks are  
24 appropriately used. And I'm sure you've done it, but I  
25 would love to know, you know, what types of method blanks

1 have been used and what the results are.

2 DR. SHE: Yes, and that's very important for the  
3 lab, as you pointed out. Every time when we switch a  
4 method, we need to do equivalence test. We need to  
5 revalidate. For a minor change, we do the partial  
6 revalidation, but since this is a major change from  
7 off-line to the on-line, we did the complete validation  
8 again.

9 Regarding the method blank, we used the  
10 laboratory solvent process in the same way as we process  
11 the samples, and that's how we do the laboratory blank.  
12 Is there any -- we also did -- since this is on-line  
13 preparation, we specifically focused on the carryover,  
14 because this on-line -- off-line method we use disposable  
15 SP cartridge. So one sample one cartridge. We do not  
16 need to consider the SP carryover.

17 But since this one, we tried to reduce the cost,  
18 we use the cartridge multiple times, so our focus also on  
19 the how to prevent carryover from previous sample to the  
20 second sample. So beyond the method blank and the PT  
21 samples.

22 CHAIRPERSON LUDERER: Okay. Thank you, Dr. She.  
23 We'll move on to Dr. Petreas' presentation, and then we'll  
24 have time for more Panel questions and comments  
25 afterwards.

1 Dr. Petreas.

2 (Thereupon an overhead presentation was  
3 presented as follows.)

4 DR. PETREAS: Good morning. So I'll give you an  
5 update of our laboratory at the Department of Toxic  
6 Substances Control since last time.

7 --o0o--

8 DR. PETREAS: So I'll go through and describe two  
9 new methods that we have now in our repertoire, which  
10 expand their capabilities and capacities for the analysis.  
11 I'll give you an update on where we stand with sample  
12 analysis, and bring up some activities that directly or  
13 indirectly benefit the Program.

14 So first, we have two new methods which really  
15 expand our capabilities. The first one we're measuring  
16 persistent organic pollutants with a new method now using  
17 a triple quadrupole MS/MS technique. And the benefit here  
18 is that we're using a single injection as opposed to our  
19 traditional method, which had two separate injections, one  
20 for the PBDEs and the other for pesticides and PCBs using  
21 the high resolution mass spec.

22 So this obviously improves the throughput, cuts  
23 down the time of data reduction and so forth. We're using  
24 this new method for samples from two studies, which are  
25 folded under the biomonitoring umbrella here. And I'll

1 talk more about them. They are the 3 Generation Study, or  
2 3G Study, and the Childhood Leukemia Study we're doing  
3 with UC Berkeley, and I'll talk more about those.

4           The other method is again another breakthrough.  
5 We transferred our method of measuring hydroxy PBDEs to an  
6 LC-MS/MS method. Again, we have improved throughput, but  
7 more importantly, we eliminated the derivatization step  
8 that was used before when we used GC. And this avoids  
9 using the diazomethane which is explosive and  
10 carcinogenic. So it's toxic. Using this method, we're  
11 able to complete all the MIEEP samples, and we'll hear  
12 more about that later.

13                           --o0o--

14           DR. PETREAS: So a little more detail here for  
15 the new method with the triple quad GC-MS. We use it with  
16 the daughter's serum from the 3G Study. And I'll talk  
17 more again later. But the daughters are contemporary  
18 women. So it's what they have now, exposed to now. And  
19 we're also using this with the UC Berkeley Leukemia Study  
20 to look at the mother's serum. This is in response to an  
21 RFI we had issued in 2012, and that study was selected.

22           But also we are looking at children's whole  
23 blood. These are the cases with leukemia, and the blood  
24 was extremely precious, not much was left. But this  
25 method really used just 100 microliters of whole blood, so

1 it's really a breakthrough, not only for the leukemia  
2 study, which is all we could afford to get from them. But  
3 for future studies, there are specimens that have been  
4 archived and they have very small volume. So this is  
5 quite a breakthrough and we feel very happy for that.

6 --o0o--

7 DR. PETREAS: And I want to acknowledge that this  
8 was presented at the dioxin meeting in Korea last  
9 September, August. And I want to acknowledge Dr.  
10 Crispo-Smith, Sabrina, who's here. Wave. She's one of  
11 our CDC funded staff who did this great work.

12 --o0o--

13 DR. PETREAS: And I also want to acknowledge the  
14 other paper, again presented in Korea, about the hydroxy  
15 BDEs. And Dr. Petropoulou, who is DTSC funded staff, did  
16 this work. Actually, I think it's a good time, if I can  
17 take a break, and introduce many of our staff who came  
18 here. You never see them, because seldom do we all come  
19 together. And they didn't come for me, they came for Dr.  
20 Fiehn's presentation.

21 (Laughter.)

22 DR. PETREAS: Sabrina Smith, Sissy Petropoulou.  
23 In the back row, Miaomiao Wang, and Dr. Tan Guo, and of  
24 course Dr. June-Soo Park, who is a supervisor of all of  
25 them plus many more people and does a great job for the

1 lab.

2 So the importance of having this hydroxy BDE  
3 method being very sensitive is very important, because we  
4 know that levels of PBDEs and, of course, hydroxy BDEs are  
5 dropping.

6 --o0o--

7 DR. PETREAS: And I want to remind you, if you  
8 have seen that or you haven't seen that, a couple of  
9 months ago, we had a paper - Ami Zota is the first author  
10 from UCSF - describing the temporal comparison of PBDEs,  
11 PCBs and the metabolites in serum from women from San  
12 Francisco General Hospital. It's the same catchment area,  
13 same cohort sampled about three years apart.

14 And what we saw was that really the PBDE levels  
15 are dropping, which in one hand shows the power of  
16 biomonitoring to gauge regulatory actions and how, you  
17 know, they reflect on body burdens, but also showed how  
18 levels are dropping.

19 --o0o--

20 DR. PETREAS: And adapted from the paper, I put  
21 up here comparing, in the first top left, is the total  
22 PCBs in the serum with the women on the earlier and the  
23 later cohort. And the bottom quadrant is the comparison  
24 of PBDEs -- I'm sorry of hydroxy PCBs, again between the  
25 two groups. And the differences are not significant.

1           On the other hand, when we looked at the PBDEs  
2 and the metabolites, the differences were significant.  
3 Levels are dropping, which is great. And the levels of  
4 hydroxy BDEs are dropping, you know, more. So a six-fold,  
5 I think, reduction. And we think that has to do with the  
6 half-lives being shorter for the metabolites. So that's  
7 why we need to have better and more sensitive techniques  
8 to see dropping levels. So we're happy to have these  
9 methods on board.

10                           --o0o--

11           DR. PETREAS: Moving on with progress with our  
12 studies, a little reminder about the Teachers Study. This  
13 we're doing in collaboration with the Cancer Prevention  
14 Institute of California, UC Irvine, University of Southern  
15 California, and City of Hope.

16           And we're funded by the Breast Cancer Research  
17 Program to do a substudy measuring chemicals as risk  
18 factors for breast cancer. The recruitment is still going  
19 on. In fact, we're extending to 2014 to acquire enough  
20 cases, because the great news is that I guess incidence is  
21 dropping and it's hard to recruit people with breast  
22 cancer.

23           So the plan is to have about a thousand cases,  
24 and over -- almost 1,500 controls from the entire state.  
25 Were analyzing PCBs, PBDEs, perfluorinated chemicals, and

1 we're sending out to a clinical lab to get lipids and  
2 thyroid hormones. These are rather older women. I think  
3 the median age is about 65 and the oldest is 94.

4 --o0o--

5 DR. PETREAS: And where we are with that as of  
6 the beginning of this month, we have a little over 2,400  
7 samples in our lab, of which we have aliquoted, which is  
8 the first phase of dealing with the samples, dividing  
9 small volumes to different vials, about 1,792. And then  
10 each one goes in a different channel to be processed for  
11 the PFCs, for the PBDEs, and then the PCBs and pesticides.

12 And you can see here that in comparison with the  
13 previous update, we have made progress with the PCBs, and  
14 of course we have extracted -- we have aliquoted many more  
15 samples which are ready to be processed. So we're  
16 marching along at a different pace with different  
17 techniques, but we're moving -- going at good progress and  
18 we're on schedule.

19 And because the Teachers Study now is under the  
20 biomonitoring umbrella, we are posting results on the  
21 website, so we're expanding the information we have on  
22 populations from California.

23 --o0o--

24 DR. PETREAS: The other study, again funded by  
25 the Breast Cancer Research Program, which we are going to

1 put again under the umbrella of biomonitoring is the Three  
2 Generations Study. And this is a very special cohort of  
3 15,000 pregnancies in the early sixties from Kaiser  
4 Oakland. And in addition to the women who were pregnant,  
5 now their offspring, and particularly the daughters, are  
6 recruited for a study. And these are the ones -- the  
7 contemporary daughters are the ones that we will include  
8 in our biomonitoring.

9 The median age is 50. And if you look at the  
10 race breakdown, we have 50 percent black, and a little  
11 over 45 percent white with some Latinas, Asians.

12 So it's a very interesting cohort and we're happy  
13 to get this data. We're still working on analysis. So of  
14 the 300 daughters, we have completed all the PFCs and  
15 released this to the PI. And we're still working on the  
16 PBDEs, pesticides, OCPs and the hydroxys. So again,  
17 gradually we're making progress.

18 The plan is to -- because the results of this  
19 study will be returned to the participant as part of the  
20 study, and assess the reaction to receiving the results,  
21 we won't be posting any results, even aggregate results,  
22 before that study is completed. So this we expect it to  
23 be in the spring of 2014. So once the study is completed,  
24 we will be posting the results onto our website.

25 --o0o--

1 DR. PETREAS: And this is an example of working  
2 with other collaborators for having the synergy and  
3 sustain the program beyond our budgets.

4 --o0o--

5 DR. PETREAS: The other collaboration we have  
6 with UC Berkeley now is the California Childhood Leukemia  
7 Study. And for that, I mean the purpose is to look at  
8 environmental and genetic risk factors for childhood  
9 leukemia. We have done a lot of work with dust from those  
10 homes of the children with leukemia and without leukemia.  
11 And now we're expanding to look at the blood of the cases.  
12 Only cases have blood not the controls.

13 So we have 195 children. These were sampled  
14 between '97 and 2008. As I said, we only have 100  
15 microliters of whole blood, so we cannot measure lipids  
16 given the small volume. Nevertheless, in terms of  
17 sensitivity, we can detect, even with this very little  
18 volume, many of the major congeners of the different  
19 classes. And you can see the detection frequency goes  
20 from 100 percent for DDE - we all have DDE - down to 55  
21 for one of the PCBs.

22 --o0o--

23 DR. PETREAS: So the intent with this single  
24 injection method, we want to compare the blood levels and  
25 the patterns to the house dust levels and patterns. So

1 for that reason, not having lipids it's not so important.  
2 And also, we want to investigate the hypothesis that young  
3 children being closer to the ground, having more contact  
4 with dust, so this age hypothesis.

5 So also, we have serum from 50 of the mothers.  
6 This was a small study in response to the RFI we had  
7 issued in 2012. And again, we will compare the mother's  
8 serum to the patterns in the house dust and also to their  
9 children.

10 --o0o--

11 DR. PETREAS: Progress with this. We are almost  
12 completed -- we have almost completed the mothers. And  
13 we're finishing the children. Well, things are in review  
14 before they get released to the PI and then get posted.

15 --o0o--

16 DR. PETREAS: So other activities. We have  
17 presented and published method papers. But here is the  
18 one manuscript. The first one, which is describing the  
19 comparison of the blood drawing tubes for the analysis of  
20 POPs and lipids. And this has been submitted for  
21 publication. This is a very important paper, because this  
22 is what we use now for the BEST collection, and this is  
23 what we use for the Teachers, using these serum separator  
24 tubes, which facilitate collection and processing in the  
25 field, where we don't have the facilities of a clean

1 environment to work with.

2           We're also trying to have some of -- disseminate  
3 some information from the actual studies. And we are  
4 working on the serum persistent organic pollutants from  
5 the FOX study. So June-Soo Park is the lead on this. And  
6 we want -- we also tried to finish a study on measuring  
7 the same POPs and PAHs in dust comparing the levels in the  
8 firehouses versus some residential houses. And this is  
9 part of Beverly Shen, who is a master thesis student, that  
10 was part of her thesis and now she's published this.

11           We're also contributing on the publication on  
12 serum PFCs and blood metals that Sandy McNeel is the  
13 primary author.

14           So hopefully, some of the FOX data will be out  
15 soon.

16           In addition, I want to mention some new methods  
17 that we primarily want to have for DTSC needs. Our  
18 geologists are very interested, for example, about PFCs,  
19 perfluorinated chemicals, in groundwater plumes and so  
20 forth. Especially, differentiating between linear and  
21 branched isomers, because these can relate to sources and  
22 identify sources.

23           Also, PFC precursors of fluorotelomer alcohols.  
24 Again, identifying routes and pathways. So these are work  
25 that's not directly related to biomonitoring, but can be

1 easily adapted and used for biomonitoring.

2 --o0o--

3 DR. PETREAS: So we're almost ready to make a  
4 recommendation of what chemicals -- what instruments we  
5 want to buy for identifying unknowns, because we  
6 understand that many non-targeted chemicals that can be  
7 identified by non-targeted screening may be very important  
8 candidates for biomonitoring to be nominated.

9 So at this phase, we have almost completed our  
10 exploratory work, checking the vendor specifications,  
11 visiting their spaces, sending them blindly samples,  
12 comparing prices. So we're going to discuss and finalize.  
13 This will be bought by the CDC last year's budget.

14 --o0o--

15 DR. PETREAS: So we feel that we are still  
16 looking for the known chemicals under the lamp post, even  
17 though we have a brighter light bulb and we can see a  
18 wider radius of chemicals. And I'm talking about both  
19 labs, really expanding our capabilities. But we really  
20 need to go beyond that and look at the chemicals beyond  
21 the lamp post.

22 --o0o--

23 DR. PETREAS: And that's why we're very  
24 interested in Dr. Fiehn's presentation and, you know,  
25 working together as a Program to give this capability to

1 the Program.

2 So for that, if there are any questions.

3 CHAIRPERSON LUDERER: Thank you very much, Dr.  
4 Petreas, and congratulations also to you on the  
5 presentations and publications.

6 And it was very exciting to hear about the  
7 development of the new quadrupole GC-MS/MS method for the  
8 various different organochlorine compounds and the PCBs,  
9 PBDEs and OCPs.

10 Do we have any clarifying questions right now  
11 from the Panel before we take public comments? And then  
12 we'll have time for more Panel discussion afterwards?

13 Dr. Wilson.

14 PANEL MEMBER WILSON: Thank you. Thank you, Dr.  
15 Petreas.

16 Do you know the age range for the 195 children  
17 that you had the PBDE findings for on the childhood  
18 leukemia study?

19 DR. PETREAS: No, but I can get back to you.

20 PANEL MEMBER WILSON: Okay. All right.

21 DR. PETREAS: Three to 14.

22 PANEL MEMBER WILSON: Age 3 to 14?

23 DR. PETREAS: Yeah.

24 PANEL MEMBER WILSON: Great. Thank you.

25 CHAIRPERSON LUDERER: All right. Do we have any

1 public comments?

2 Thank you, Dr. Petreas.

3 No?

4 All right. Then we'll move onto the Panel  
5 discussion. Any questions or comments from Panel members?

6 Dr. Fiehn.

7 PANEL MEMBER FIEHN: Yeah. I wanted to come back  
8 to the arsenic analysis, so where you showed that the  
9 highest level of arsenic were found in -- as arsenocholine  
10 and arsenobetaine speciations. In recent publications  
11 it's been shown that regular choline is turned over by a  
12 gut microbiota bacteria into TMAO. And I wonder what is  
13 known about arsenocholine, is it also turned over to TMAO  
14 and what happens then to the arsenic species?

15 DR. SHE: I assume I need to refer this question  
16 to someone in the audience or the people who may have  
17 knowledge. I really do not know this, how to -- so you  
18 said there's some bacteria --

19 PANEL MEMBER FIEHN: Yeah, you know, usual  
20 nutritional choline is turned over by gut microbiota,  
21 specifically from people who are not vegans to TMAO pretty  
22 much efficiently. And TMAO is the most important health  
23 factor in cardiovascular risk. There are a couple of  
24 publications this year in Lancet and New England Journal  
25 of Medicine and so on, when you take out cholesterol. So

1 the next most important risk factor is TMAO, and it's all  
2 coming from choline.

3           So now I'm wondering if the same bacteria in the  
4 gut would also work on our arsenocholine, and what would  
5 happen then, what type of arsenic species would come after  
6 that kind of transformation? So that's -- because it's  
7 the most important, you know, part that you found in  
8 arsenic species.

9           DR. SHE: Yeah, I think possible we will follow  
10 up with you and to see this arsenocholine follow the same  
11 pathway. So we'll follow up with papers to -- yeah.

12           PANEL MEMBER FIEHN: Okay.

13           CHAIRPERSON LUDERER: Actually, I had a question  
14 about the arsenic as well, which relates not to the  
15 organic arsenic species that you found, but to the five  
16 individuals who had the elevated inorganic arsenic. I  
17 know you said that you're doing additional contacts with  
18 them to try to understand what the source of the inorganic  
19 arsenic is. I was wondering if there are any obvious  
20 similarities, like were they all from the same fire  
21 station or something like that that might indicate a  
22 common exposure?

23           DR. SHE: There's some suspicions. I'd like to  
24 Duyen or someone else to comment. You want to comment?  
25 So far, we think -- you ask the possible source?

1 CHAIRPERSON LUDERER: (Nods head.)

2 DR. SHE: And it's based on only five people.

3 It's very small numbers. So as I mentioned, the data is  
4 still under review, but I can reveal a little bit with --  
5 we thought, we suspect maybe rice eating, but this needs  
6 to be confirmed. And we'll check all of the data sets to  
7 see people who do not eat rice, even in low levels, so  
8 have negative controls. So I think the works need to be  
9 done more. And Duyen, you want to comment?

10 MS. KAUFFMAN: I'm Duyen Kauffman from the  
11 biomonitoring CDPH side. And just to clarify that there  
12 were five people identified, but we were only able to  
13 contact two so it's an even smaller number than that.

14 DR. SHE: So definitely need more work.

15 CHAIRPERSON LUDERER: Thank you.

16 Any other Panel comments, questions?

17 Yes, go ahead. Did you hit your microphone?

18 Hit the button.

19 PANEL MEMBER QUINTANA: I had a question for Dr.  
20 Petreas. It had to do with the childhood leukemia study  
21 slide, which was slide 15, I think. And you mentioned  
22 that on the 100 microliters of whole blood, which is  
23 really a technological achievement, and I congratulate  
24 you, that you're not able to measure lipids. And I'm just  
25 wondering if you could just comment briefly on the amount

1 of variability that not being able to measure lipids might  
2 contribute to samples that you express, normally relative  
3 to lipid -- relative to the variability among the  
4 children.

5 DR. PETREAS: It is true. Usually, we always  
6 report persistent organic pollutants on a lipid basis, but  
7 given what we have and talking with the principal  
8 investigators and the other colleagues there, they decided  
9 it was worth doing the unadjusted for lipids just to  
10 compare with the profiles of the dust.

11 So this was like an add-on to our major dust  
12 study that we have. So we have characterized the homes  
13 with the dust and now have children. So trying to see  
14 which -- I don't -- the profile won't change whether it's  
15 adjusted or not. You won't be able to compare with other  
16 populations maybe, but again it's very rare to have young  
17 children's data anyway to compare. CHAMACOS have some  
18 work, but not too many. NHANES doesn't have younger --  
19 young children.

20 So we thought it was worth doing that. But  
21 you're right, I mean, ideally we want to have a little  
22 more volume to do the lipids.

23 PANEL MEMBER QUINTANA: But it sounds like the  
24 variability among homes might be greater than the small  
25 error that's introduced by the lack of adjustment, that's

1 what you're saying, or are you just looking at the profile  
2 overall?

3 DR. PETREAS: I didn't hear. The variability  
4 between homes?

5 PANEL MEMBER QUINTANA: I'm just saying is the  
6 percent of variability that's introduced by not being able  
7 to characterize lipids, it might add another 30 percent to  
8 variability or something.

9 DR. PETREAS: I don't think it would be that  
10 much.

11 PANEL MEMBER QUINTANA: Or I wasn't sure about  
12 the magnitude, if you had an estimate.

13 DR. PETREAS: Yeah, but the decision was to  
14 ignore that and just look at the profile for this  
15 particular purpose.

16 CHAIRPERSON LUDERER: Thank you, Dr. Quintana.  
17 Any other -- yes, Dr. Kavanaugh-Lynch.

18 PANEL MEMBER KAVANAUGH-LYNCH: I am glad to see  
19 that you're exploring the instrumentation and methods for  
20 non-targeted screening. We will in the next couple of  
21 months be releasing an RFP for a study on non-targeted  
22 screening of drinking water throughout the State. So you  
23 may have a customer for that methodology soon, or maybe  
24 you'll be the customer.

25 (Laughter.)



1 simplified Program update. I'm just going to update on  
2 the three projects, with the caveat that for when we get  
3 to the third bullet, which is the biomonitoring exposure  
4 study, I'm going to have -- spend a little bit of time  
5 because we're going to talk not only about what we've been  
6 updating you about over several meetings, but we're going  
7 to talk about the expanded BEST so sort of BEST part 2.

8 --o0o--

9 DR. DiBARTOLOMEIS: So just to refresh people's  
10 memory. Back on the last meeting in August -- excuse me,  
11 let me just get my little cheat sheet here.

12 We had just returned the second set of results to  
13 participants, and we were still in the process of  
14 analyzing the final panel of chemicals, which are the  
15 hydroxy BDEs or the diphenyl ethers -- brominated diphenyl  
16 ethers. And where we are now is that that analysis is  
17 complete, and -- excuse me. And I do want to say this  
18 marks -- I guess this particular project, and this  
19 happened -- started long before I came here, is the first  
20 project for the Biomonitoring Program. And really by  
21 completing this analysis, this really completes the  
22 project, in a sense. I mean, we still have some analyses  
23 to do and some additional products to deliver, but this is  
24 a real milestone.

25 And I do want to extend my appreciation to the

1 staff, the complete staff, past and present, of the  
2 Biomonitoring Program that worked on this. And also I  
3 wanted to extend our appreciation to our two  
4 collaborators, Dr. Rachel Morello-Frosch from UC Berkeley  
5 and Dr. -- I always get this mixed up. And then Dr.  
6 Tracey Woodruff who is with UCSF.

7           So we do have some analysis that's ongoing. We  
8 will be mailing the -- we anticipate sometime in the next  
9 couple months to get the results returned. So this should  
10 be very near completion.

11                   --o0o--

12           DR. DiBARTOLOMEIS: And I also want to say that  
13 I'm very happy to announce that -- take a good look at  
14 this slide, because this is the last time you're going to  
15 need to see this slide. We have hit the completion all  
16 the way down, so I know you'll miss it, but say goodbye to  
17 it.

18                   --o0o--

19           DR. DiBARTOLOMEIS: So let's move on into the  
20 Firefighters Exposure Project. And we've already heard  
21 quite a bit actually from Dr. Petreas about where we are  
22 with this, but I wanted to kind of remind people where we  
23 were. We had -- we were in the process of analyzing -- we  
24 had two things going on. One was a survey of participant  
25 understanding, and the other is that we are continuing

1 evaluating data. We had just submitted the second set of  
2 analytes to the participants.

3 And I also should just mention that this is  
4 collaboration again, just to remind people, with Dr.  
5 Leslie Israel at UC Irvine. And again, many people who  
6 have not -- who are not even part of the biomonitoring  
7 project, like Dr. Rupa Das, are key in having gotten this  
8 up and running.

9 So the -- I just want to mention again that the  
10 participant understanding piece is a survey of the  
11 firefighters, and it is -- we used a SurveyMonkey.

12 So if you look at the next slide --

13 --o0o--

14 DR. DiBARTOLOMEIS: -- we actually completed that  
15 analysis -- not only the survey, but the analysis. And I  
16 just want to just spent a couple seconds on this.

17 The number of firefighters that we had -- that we  
18 had email addresses for, because this was sent -- this is  
19 a SurveyMonkey sent by email, were 92, so 92 out of 101.  
20 And we received back a little less than 10 percent of the  
21 surveys or nine. So -- yeah, nine out of 92.

22 So one might say, well, that's kind of low. And  
23 it is kind of low. No matter how you look at it, it's  
24 low. But when you're using a SurveyMonkey, you do expect  
25 a lower rate of return. Plus, we also understood before



1 at looking at a representative population of -- you know,  
2 a representative California population.

3 And it's in collaboration with Kaiser Permanente.  
4 And I want to just -- Northern California division. And I  
5 want to again shout out to the collaborators, Dr. Stephen  
6 Van Den Eeden, who is the co-principal investigator  
7 Amethyst Leimpeter -- I hope I'm saying her name  
8 correctly -- who is the project manager for Kaiser and  
9 Denise Hodges, who is the recruitment coordinator.

10 So Pilot BEST involves six counties in central  
11 California, Central Valley. We are -- we attempted to  
12 achieve an equal recruitment across race and ethnicity,  
13 gender, and age. And participants were recruited by  
14 mailing a letter. And the samples were collected by a  
15 phlebotomist who went door to door or went to -- not door  
16 to door, but did home visits. And we'll talk a little bit  
17 about the pros and cons of doing that. Using this  
18 approach, we enrolled 112 participants.

19 --o0o--

20 DR. DiBARTOLOMEIS: So on the next slide, I just  
21 want to summarize what the target goals were, and then  
22 where we -- and then what we achieved.

23 So out of 112 participants, you can see that we  
24 were, again on the target side nice equal numbers trying  
25 to get the four races that we were -- and ethnicities we

1 were aiming at were Hispanic, Asian and Pacific Islanders,  
2 White and Black. And we wanted to get about an equal  
3 number. And if you look at the number of enrolled  
4 participants, we did fairly good job of achieving those  
5 numbers.

6 For the male, female gender split, we again were  
7 pretty close to 50 percent with slightly more males. But  
8 again, generally we were on target with our enrollment.  
9 One thing you will notice is that on the age profile, we  
10 tended to be a little bit on the older side. Although, I  
11 have a hard time believing that 55 is really that old.  
12 I'm sure everybody in this room probably -- a lot of  
13 people feel that same way. And we attest -- we -- the  
14 reason -- probably the primary reason for this, and there  
15 is somewhat of a selection bias here, when you have a  
16 phlebotomist going to somebody's house during the daytime  
17 between 8:00 and 5:00 p.m., you're going to get certain  
18 people at home. Whereas, if you visited them at their  
19 workplace, you might have had a different spectrum of age  
20 breakdown.

21 So it's something that is an artifact probably of  
22 the fact that we were going to them, rather than they  
23 going some place else, and not being available on the  
24 weekends.

25 --o0o--

1 DR. DiBARTOLOMEIS: On the next slide, I just --  
2 now going back to our familiar looking slides for -- in  
3 terms of the updates. Just to remind you where we were in  
4 August, we were ongoingly analyzing the second set of  
5 chemicals. We were -- we had not yet returned the results  
6 of course, and there was some data analysis ongoing.

7 The current slide looks pretty much the same, but  
8 I've got to tell you that there's a lot more behind those  
9 green boxes as you'll see on the next slide.

10 --o0o--

11 DR. DiBARTOLOMEIS: We've -- actually, the labs,  
12 I give a lot of credit for, they've really stepped it up  
13 here and have, as you can see, many of the panels now are  
14 complete. And, in fact, the once, like perchlorate, that  
15 not complete, they're in review. So a lot of progress was  
16 made over the past couple of months. So I just wanted to,  
17 again, shout out to the labs who really produced quite a  
18 bit during the last couple of months on this. And so I  
19 won't dwell on this.

20 --o0o--

21 DR. DiBARTOLOMEIS: Now, I want to move into the  
22 Expanded BEST, which is really -- I don't know how to say  
23 this, if it's just sort of BEST part 2, or if it's really  
24 a separate project. You know, we can kind of -- a  
25 question of semantics. So I'm just going to say that this

1 is a continuation of BEST, but with methodologies that are  
2 quite different, such that probably you won't be able to  
3 compare the results, per se. Although it's really not  
4 clear.

5 One thing that we did is we added one county in  
6 the Central Valley. But in this particular case, we  
7 wanted to oversample for Hispanics and Asian and Pacific  
8 Islanders, because that is more representative of the  
9 California population, and I'll get into some of the other  
10 pros about doing that.

11 We purposely targeted to include more monolingual  
12 Spanish speakers. We had -- in the Pilot BEST, we did  
13 have Spanish speakers, but they were also English  
14 speakers, and so it wasn't really getting at true -- a  
15 population truly that was only Spanish speaking. And we  
16 were trying to again have an equal distribution across age  
17 and gender.

18 The other main difference is that instead of  
19 using a phlebotomist, we wanted to take advantage of the  
20 Kaiser Permanente clinical system, which allows -- I think  
21 there's 50 different locations or labs where people could  
22 go and donate. So this opens it up to hours that are not  
23 just 8:00 to 5:00 and weekends. So we thought that we  
24 would get a different spectrum and increase our diversity  
25 that way as well. It does raise some problems, too, which

1 I'll get into later, but for the most part these were --  
2 this is where -- how we set up Expanded BEST.

3 We did -- within the race and ethnicity groups,  
4 we did stratify random samples on gender and age.

5 --o0o--

6 DR. DiBARTOLOMEIS: So our target was to hit 420  
7 enrolled participants with, as you can see, oversampled  
8 Hispanic and Asia Pacific Islanders, and of course try to  
9 achieve the 50/50 split for gender and age. If you look  
10 at the enrolled participants, and as of October 23rd --  
11 and by the way, enrolled participants mean that they've  
12 signed up, completed the consent form, and have donated  
13 samples at the lab. So I just want to point that out.

14 303. We still have an opportunity to increase  
15 that number. And the other thing that we did, by the way,  
16 with Expanded BEST is the questionnaire was available  
17 on-line, so that also facilitated folks signing up and  
18 enrolling into the program.

19 --o0o--

20 DR. DiBARTOLOMEIS: And just to continue on,  
21 recruitment activity. So we stopped the recruitment  
22 activities, but we haven't stopped the enrollment process,  
23 because people can still have the opportunity until about  
24 the end of the month to actually get the consent forms in  
25 and do their samples. So we're hoping that that 300

1 number will go up.

2           And I do want to say that in terms of the sample  
3 collection and the challenges, because Kaiser is primarily  
4 clinical and we're primarily investigatory, or some people  
5 might say research or public health application oriented.  
6 Sometimes you don't exactly have things matching. For  
7 example, a urine that's collected for clinical use may not  
8 have to have the volume that is needed for biomonitoring  
9 use, and we did run into a little bit of that problem.

10                           --o0o--

11           DR. DiBARTOLOMEIS: So this is the first time  
12 you've seen this slide. And this looks like some of the  
13 other slides that we've had, but this is now something  
14 that will be embedded in our update presentations. So  
15 this is just basically where we are right now in the  
16 Expanded BEST process. We are still collecting blood and  
17 urine. We are involved in extracting information from  
18 medical records, and the analyses have not yet started,  
19 which is what you would expect.

20                           --o0o--

21           DR. DiBARTOLOMEIS: I want to close this  
22 conversation -- or this discussion here about Pilot BEST  
23 and Expanded BEST with a comparison table, just to kind of  
24 put it all into one piece of paper. And for sure, we'll  
25 be able to regurgitate this in the future if we wanted to

1 spend a little bit more time on any of these particular  
2 differences.

3           Location, same. Study period, obviously Expanded  
4 BEST is coming after the Pilot BEST. We are slightly --  
5 we have more participants in Expanded BEST and we are  
6 hoping to even get closer to our target of 400 or 420.

7           Languages. Again, probably with BEST is  
8 primarily English. Although, we did get Spanish speakers.  
9 But in this case, for Expanded BEST, we are having a  
10 targeted monolingual Spanish enrollment.

11           Recruitment oversampling. Whereas Pilot BEST was  
12 to achieve some equal distribution. In Expanded BEST, we  
13 wanted to oversample for Asian and Pacific Islanders. And  
14 let me just again say that the advantage of this is  
15 California is a diverse population. It doesn't look like  
16 any other state in the country, and federal biomonitoring  
17 efforts really are not representative too well of  
18 California.

19           So this is really exciting, because BEST does  
20 represent the closest we have to anything that would be  
21 more or less a random sort of representative sampling,  
22 even though it is Central Valley, which is a very specific  
23 region in California. So getting these results into the  
24 future are going to give us an idea -- a better idea at  
25 least of what we might expect as sort of representation of

1 what the California population would look like.

2 I do know that CDC is very excited about seeing  
3 this, because they can't do something like this. So this  
4 is the -- one of the benefits of having a Biomonitoring  
5 Program in a State like California.

6 The recruitment method we used in Pilot BEST was  
7 a letter in the mail with a follow-up phone call, which  
8 exactly probably tends to have a more personalized  
9 approach, and you might get a higher percentage rate of  
10 enrollment versus a letter in the mail, which is kind of  
11 like a mass mailing, you just hope people are responding.  
12 But we sent out a lot of letters for Expanded BEST.

13 And in terms of the consent form I've already  
14 mentioned, the -- well Pilot BEST was an in-person, again  
15 personalized, survey or interview. And whereas with the  
16 Expanded BEST they would go on-line and do it on-line.

17 And then finally, one of the bigger differences  
18 is sample collection phlebotomists at home visits for a  
19 Pilot BEST. They go to the lab or the clinic in Expanded  
20 BEST.

21 Make sure I covered everything. Otherwise, my  
22 staff will go "Michael, you forgot something". Although,  
23 I think I did pretty well there. I'm sure you might have  
24 some questions.

25 --o0o--

1 DR. DiBARTOLOMEIS: But before we do that, I'm  
2 going to close by saying I know that you've mentioned this  
3 morning, and I missed it -- I know that Dr. Alexeeff paid  
4 tribute to Dr. Lipsett in his retirement, but I want to  
5 say some personal things on behalf of the entire Program,  
6 staff who are here presently in Biomonitoring Program, and  
7 those who are possibly listening, but who have since moved  
8 on to someplace else.

9 You know, Michael is -- and I know -- he might be  
10 listening actually. But if not, he can read the  
11 transcript.

12 You know, Michael's contribution, besides the  
13 fact that he's just, you know, all around great guy to  
14 have be -- to work with, is that he -- you know, he had a  
15 major role, if not one of the major roles in starting up  
16 this program.

17 And I don't know how much Dr. Alexeeff mentioned  
18 this, but he wrote the proposal, the first -- and with a  
19 couple of staff, and with the labs' input on getting the  
20 CDC funding. And I don't think we would have been where  
21 we are now if we hadn't been able to achieve that. So  
22 that was huge.

23 He's the primary author on other materials, and  
24 he is the principal investigator, and he's -- or outgoing  
25 principal investigator. And served as the CLIA

1 coordinator for the entire department, I guess, or at  
2 least for the labs. And I do know personally he --  
3 running a Branch, he probably put 50 to 60 hours on the  
4 average per week, which meant -- which means that he  
5 probably could have retired about five years ago if you  
6 can count all those extra hours for longevity.

7           So he did recruit me to take over for the lead of  
8 the Biomonitoring Program about a year ago. I am thankful  
9 that I had this year to work with him. I was expecting to  
10 have more than this, but we'll have to move on without  
11 him.

12           I want to add that he has not only been a  
13 colleague of mine, but he has been a really good friend of  
14 mine for over 25 years. So if there's one positive note  
15 about Michael leaving it's that I guess hereafter, maybe  
16 starting in January, I no longer will have to be Michael  
17 D. and he Michael L. It will just be Michael.

18           (Laughter.)

19           DR. DiBARTOLOMEIS: Until such time we hire. So  
20 anyway, Michael, hopefully you're listening, thank you for  
21 everything. We're so proud of your accomplishments, and  
22 we wish you the best in your retirement.

23           Thank you.

24           CHAIRPERSON LUDERER: Thank you very much, Dr.  
25 DiBartolomeis for the update and the -- I think all the

1 Panel members also agree that we wish Dr. Lipsett all the  
2 best. And we are very thankful for all of his  
3 contributions to biomonitoring in California as well as  
4 his many other contributions.

5 And so we can take some questions from Panel  
6 members.

7 Dr. Quint.

8 PANEL MEMBER QUINT: Thank you, Michael, and  
9 thank you Michael L. Just a great person to have in any  
10 department and program. So very much appreciate all your  
11 work.

12 And great results. So lots of accomplishments.  
13 I just wanted to ask whether or not it would be possible,  
14 at some point, to get the project leads for some of these  
15 great studies to come and present back to the Panel? I  
16 mean, it's nice to see all the completes for the different  
17 analytes and, you know, to hear about the results. But  
18 like for the MIEEP program, I know that there was a  
19 really -- a good questionnaire that accompanied, that was  
20 a part of that study. So I was curious as to whether or  
21 not there was any pattern between, you know, what was  
22 collected on the questionnaire and the results.

23 It also -- I think, CDPH had a little piece of  
24 that, wanting to pilot a questionnaire that could be used  
25 as a part of a medical record. So I don't know if that --

1 you know, they continued with that -- you know, to test  
2 that hypothesis. But it would be just nice to have the  
3 whole project presented to the Panel, if that's possible  
4 with these great, you know, pilot projects.

5 DR. DiBARTOLOMEIS: So let me just ask you. By  
6 project leads, did you mean having Tracey and Rachel come?

7 PANEL MEMBER QUINT: Yes, exactly.

8 DR. DiBARTOLOMEIS: We'll definitely look into  
9 that.

10 PANEL MEMBER QUINT: I mean, I know there's two  
11 pieces. There's test -- you know, trying to understand  
12 participant's understanding of the results, which is a  
13 separate, you know, wonderful thing to do. But then  
14 there's just, you know, how many people worked versus -- I  
15 mean, was there a pattern between what they found, an  
16 occupation or not or you know that sort of thing. I think  
17 just the questionnaire versus the results. I mean, even  
18 hearing about that would be very interesting. So it would  
19 be good closure, I think, for the Panel to get that.

20 And I had a second question about the FOX study.  
21 The SurveyMonkey didn't produce great -- you know, you  
22 didn't get a great return. Are you thinking about other  
23 ways to tap in to get participant feedback, other than --  
24 I know the -- it's very labor intensive to, you know, do  
25 the kind of study that Rachel and others are doing. But

1 is there something in between?

2           Because it would be really great to compare the  
3 understanding of the FOX participants versus the -- you  
4 know, the people who were enrolled in MIEEP, because  
5 they're two very different cohorts.

6           DR. DiBARTOLOMEIS: Thank you, Dr. Quint. That's  
7 a really good question. I don't know what the official  
8 response is of the Program at this point. Personally, I  
9 do think -- I am advocate of evaluating survey -- you  
10 know, approaches, getting feedback from participants, as  
11 well as stakeholders. I mean, I think that that's really  
12 important to do. I don't know exactly what is the in  
13 between. Having done evaluations in the past for other --  
14 in other -- for other things that I've done, it's sort of  
15 just a case by case. You almost don't know exactly what  
16 the best process is going to be.

17           The firefighters were a special population.  
18 They -- you know, probably unless we went there and showed  
19 up on their doorstep, they probably weren't going to be  
20 too interested in spending more time in responding to  
21 something on paper or whatever, but you never know.

22           So I'm just going to -- I don't see anybody sort  
23 of jumping to answer that question any differently. So  
24 why don't we just get back to you, because I think it is a  
25 good question, and it's worth us considering as a Program.

1 CHAIRPERSON LUDERER: Thanks.

2 Dr. Bradman.

3 PANEL MEMBER BRADMAN: Yeah. I also want to just  
4 extend my thanks to Michael Lipsett. And I've also known  
5 him for many years. And I really can't overstate his  
6 contributions to this Program and public health in general  
7 in California.

8 To go specifically to your presentation and the  
9 information with respect to Expanded BEST. And I think  
10 that's really a great contribution and extension of both  
11 the existing program and also, as you said, in achieving  
12 the goals of the Biomonitoring Program.

13 What are the prospects for expanding this beyond  
14 say the Central Valley and perhaps working with Kaiser or  
15 maybe other, you know, health maintenance organizations or  
16 other providers as a mechanism to, you know, expand the  
17 geographic representation in California?

18 I know we've talked in the past this might be the  
19 best way to align with some of the specific goals in the  
20 legislation. And maybe that's a direction that can also  
21 develop further. And I assume that, you know, you've all  
22 been thinking about that, and maybe there's some  
23 discussion warranted.

24 DR. DIBARTOLOMEIS: Well, yes, Dr. Bradman. That  
25 definitely -- it's not, we finish and then we move on. I

1 mean, we definitely are giving some thought to this.  
2 There are a lot of different considerations. One is even  
3 if Kaiser is wanting to participate as a collaborator into  
4 the future. I mean, we have a very good relationship now.  
5 And, in fact, we have a second where we're providing  
6 service for -- I don't know if, Dr. She, did you mention  
7 the bisphenol A work with Kaiser?

8 DR. SHE: No, I don't.

9 DR. DiBARTOLOMEIS: Okay. We'll probably hear  
10 about this in the future, but we're doing a smaller work,  
11 not with the exact same collaborators within Kaiser to  
12 look at some bisphenol A levels and metabolites and  
13 analogs.

14 So there seems to be some really positive  
15 relationships with Kaiser. I don't know about other HMOs.  
16 I don't know to what extent this program has even looked  
17 into that feasibility in the past.

18 Resources is, of course, a concern. And we're  
19 not -- we didn't spend -- I did not spend any time at this  
20 meeting to tell you about sort of updates. But, you know,  
21 just keep in mind the CDC funding is over on August 31st,  
22 2014. So that's a big chunk of the Biomonitoring  
23 Program's funding.

24 So we're still in the process of discussing and  
25 look at options to -- you know, what might be available to

1 replace that funding. So all those considerations. But  
2 technically, the labs certainly have the capacity and  
3 capability, whether they can do expanding, you know, into  
4 other projects without shifting priorities or adding staff  
5 or whatever, I mean, because at some point you reach your  
6 capacity for throughput, and you have to, you know,  
7 consider all that. So there are those types of things in  
8 the works.

9 I also might want to just mention and remind you  
10 that we've also extended a -- or we're looking into the  
11 feasibility of using samples from the Genetic Disease  
12 Screening Program in CDPH. And we've made actually  
13 some -- I didn't mention this as part of the update, but  
14 we've made contact with them and it looks like that  
15 starting some time early next year samples will be  
16 available. And we are working out the details for how the  
17 Program can obtain some of those.

18 And again, that's not quite a representation as  
19 the BEST study, but it is another way of getting at  
20 representative samples. So we're -- and we do know that,  
21 you know, Dr. Petreas last -- at the last meeting  
22 mentioned that at least for the serum samples, it looks  
23 pretty good that we can look for specific analytes.

24 PANEL MEMBER BRADMAN: Right. Yeah, no, I think  
25 that's very important. A person to contact at Kaiser, I

1 don't know, is Kathy Gerwig. I don't know if you've been  
2 in touch with her. She's their environmental safety  
3 manager, and she's been instrumental in changing medical  
4 materials to reduce exposures to toxic substances. So she  
5 might be somebody worth talking to, perhaps could  
6 facilitate some of the relationships with them.

7 CHAIRPERSON LUDERER: Dr. Wilson.

8 PANEL MEMBER WILSON: Thank you. And thank you  
9 for the presentation, Michael. And I had a question and  
10 then a comment. The first question was on the MIEEP  
11 slide. You know, the analyses are all completed here.  
12 And I'm wondering if you have a sense of the numbers of  
13 different congeners, you know, of these different  
14 substances that have been identified? And if so, what the  
15 total number is?

16 DR. DiBARTOLOMEIS: Okay. So I'm going to have  
17 to turn to staff here.

18 EHL does between 50 and 60 different analytes  
19 for -- and then -- so I guess that's sort of the congener  
20 analytes.

21 Did you want -- Myrto, did you want to say  
22 something to that?

23 DR. PETREAS: Yeah. For the PFCs, there are 12,  
24 right? We're doing 12. PBDEs or the POPs. 30 PCBs.  
25 With the hydroxy, 36 come together. And the pesticides we

1 have six major pesticides and 17 PCBs.

2 PANEL MEMBER WILSON: So what is that, a couple  
3 of hundred?

4 DR. PETREAS: So that's the one that we are  
5 targeting, but some of them may not be detected in  
6 everyone.

7 PANEL MEMBER WILSON: So it's -- just -- I didn't  
8 quite catch the numbers as you were going by. It sounds  
9 like about 200 --

10 DR. DiBARTOLOMEIS: About 150.

11 PANEL MEMBER WILSON: -- of congeners all  
12 together.

13 DR. DiBARTOLOMEIS: Well, I mean, it's hard to  
14 say what the definition of congener is or whatever. But I  
15 think overall it's about 150 different analytes. Is that  
16 right?

17 Not quite 200. So somewhere a little less than  
18 -- probably around 150.

19 PANEL MEMBER WILSON: Okay. Great. And do you  
20 have a sense from Rachel or for Tracey Woodruff when  
21 the -- when those results will be available?

22 DR. DiBARTOLOMEIS: Boy, these are tough  
23 questions.

24 PANEL MEMBER WILSON: I'm sorry, Michael. From  
25 one Michael to another.

1 DR. DiBARTOLOMEIS: Well, the simple answer is  
2 no, I don't, but I do know that they've resumed activity.  
3 There was a little bit of a lull period, but we've made  
4 contact, and they are actively working on it. So I can't  
5 give you a specific date or even a target, and I don't  
6 even think it's fair to put that out there on a  
7 transcript.

8 PANEL MEMBER WILSON: Okay. Sure. Okay. Thank  
9 you. And I guess the comment on the survey results. You  
10 know, the nine percent it doesn't surprise me. But just  
11 one suggestion -- and maybe you did this. I'm not sure,  
12 but, you know, they -- that population will tend to be  
13 responsive to the union leadership. And if the -- you  
14 know, if in communicating with the union leadership that  
15 you make it clear to them that this is a high priority,  
16 and you want their membership to respond to this survey,  
17 they will get that word out to their members, and that  
18 will bring your response rate up, I think.

19 If it's -- if you're -- you know, if this is  
20 something you want to revisit, if -- you know, it sounds  
21 like very interesting information that could be useful  
22 going forward, just as a suggestion. I don't know if you  
23 did that or not.

24 DR. DiBARTOLOMEIS: I actually don't know if we  
25 did that, but definitely is duly noted. And if we -- in

1 the future when we work with another population. I don't  
2 know if we're going to go back for this particular -- in  
3 this particular instance. But when we're working in  
4 occupational settings in the future, I mean that's a  
5 really good idea to not only bring the labor in at the  
6 end, but you know, start at the beginning. Have them  
7 involved from the very beginning. Explain why this is  
8 really important.

9 I've learned that from my training. So I don't  
10 know exactly to what extent that was something that was  
11 part of the protocol way back when FOX was started, but in  
12 the future that is a very good suggestion.

13 PANEL MEMBER WILSON: Yeah, okay. And just I  
14 want to extend my congratulations to Dr. Lipsett as well.  
15 He was someone who I looked up to from -- in the  
16 mid-nineties in my graduate studies, so for many years.

17 CHAIRPERSON LUDERER: Okay. We have Dr. McKone.

18 DR. DiBARTOLOMEIS: You need to turn your  
19 microphone on.

20 PANEL MEMBER MCKONE: There. So I want to begin  
21 with a compliment rather than at the end to the Michaels.  
22 But I think it's really great that, you know, the Program  
23 is in your capable hands, but we will miss Michael L. a  
24 lot. I think he was -- I mean, for me, it's the same  
25 thing, he's just been around in so many meetings that I've

1 been to and had so many interactions. It's kind of hard  
2 to imagine not coming up here and seeing him wandering  
3 around somewhere in the audience.

4           But my question concerns I guess on some of the  
5 future uses of the information, particularly for something  
6 like BEST, are there plans for putting that in context,  
7 such as looking at how it compares with NHANES? Is there  
8 any timeline or plan for, you know, again not only just  
9 making the data available, but some studies to put in  
10 context health issues or in -- you know, for me, I think  
11 it would be very interesting to see a comparison to NHANES  
12 in some way how that relates to what's observed nationally  
13 now that we have some State monitoring.

14           DR. DiBARTOLOMEIS: I'm going to turn the  
15 question over to Dr. Fenster who -- and I think I might  
16 even have the answer, but I want to hear her answer and  
17 see if we're the same.

18           DR. FENSTER: I'm really excited about the BEST  
19 population, so I thought I'd get up and say some hip hip  
20 hoorays, because I think it's one of the first times where  
21 we've been able to use their lab infrastructure to really  
22 reach a diverse population, and as Michael said, allow  
23 people to come to collect their samples on non-traditional  
24 working hours. So I'm very excited about that.

25           We're just starting to analyze the lab data that

1 you saw, in terms of Pilot BEST. We've just got an  
2 avalanche of data that the epi biostat staff are working  
3 on. And we have plans to -- we're already starting to  
4 compare to the appropriate NHANES population, we're going  
5 to be looking at the questionnaire, so we're very -- we  
6 have plans to pursue that as well as when we've completed  
7 enrollment for Expanded BEST. And the numbers have  
8 already gone up in Expanded BEST. Probably they're about  
9 333 approximately. Oh, 337. Yea. So as we speak, people  
10 are donating more samples.

11 CHAIRPERSON LUDERER: All right. Thank you. I  
12 actually have a few more clarifying questions about BEST.  
13 I'm very excited about it as well.

14 And one of the things, my recollection was  
15 that -- because you mentioned, Dr. DiBartolomeis that  
16 there was an equal distribution across ages, but it's  
17 adult only, isn't that correct? So it's over 18.

18 DR. DiBARTOLOMEIS: (Nods head.)

19 CHAIRPERSON LUDERER: Right, okay. I just wanted  
20 to...

21 DR. FENSTER: I want just to also add onto the  
22 possibility to look at health endpoints within Kaiser. I  
23 think that could potentially be a way to apply for grants  
24 to look at some of the -- particularly for the chemicals  
25 with -- that are associated with different endpoints in

1 the Kaiser population, whether it's diabetes or thyroid  
2 disease. That's a possibility. And we would need  
3 resources, but it's also, I think, very attractive to  
4 funding agencies, given it's Kaiser and there is -- there  
5 are the medical health records.

6 CHAIRPERSON LUDERER: And then I just wanted to  
7 follow up on the comment about the comparison of the Pilot  
8 BEST and the Expanded BEST. Is the questionnaire that's  
9 being used identical, other than one was an interview and  
10 the other one is on-line questionnaire?

11 DR. FENSTER: Right. And I did want to mention  
12 that the Expanded BEST, if people didn't feel comfortable  
13 answering a computer-based survey, they also had the  
14 option for a hard copy questionnaire.

15 We tried to keep the questionnaires comparable,  
16 so that we could potentially, with different caveats,  
17 given the method, the design differences, we could  
18 potentially combine the populations for different -- you  
19 know, when we were examining potential routes of exposure.  
20 So they're very similar.

21 CHAIRPERSON LUDERER: And so then the analytes  
22 are also going to be the same analytes for the two or are  
23 there differences?

24 DR. FENSTER: They're only going to get better.

25 (Laughter.)

1 DR. FENSTER: Because, as you saw, the labs are  
2 expanding their analytes. And so, again, they're similar,  
3 but there will be some potential differences.

4 CHAIRPERSON LUDERER: Great. Thank you.

5 I know we have at least one public comment. Have  
6 we gotten any other public comments?

7 Just one. All right. Well, we'll take time now  
8 for the public comment, and then we have some time after  
9 that for some more Panel discussion.

10 So our comment is from Davis Baltz of Commonweal.

11 MR. BALTZ: Davis Baltz, Commonweal.

12 Dr. Luderer, Dr. Alexeeff, Panel members, nice to  
13 see you again. And let me also add my congratulations to  
14 Michael Lipsett on his retirement. Unlike some other  
15 people who've spoken, I didn't have the honor of being  
16 hired by him --

17 (Laughter.)

18 MR. BALTZ: -- but I have worked with him since  
19 the inception of this Program, and he has been accessible  
20 and resourceful, obviously hard working, and has done a  
21 lot of the heavy lifting that's gotten the Program to  
22 where it is today. So wish you all the best on your  
23 retirement, Michael. If you're listening, with any luck,  
24 maybe we can figure out a way for him to come back and  
25 contribute in new ways to the Program. He's obviously

1 already a respected graybeard.

2 (Laughter.)

3 MR. BALTZ: I was very pleased to hear the  
4 updates from the labs and the Program. Lots of progress  
5 since the last meeting. And so I think there's a lot of  
6 exciting developments happening. The collaborations with  
7 the California Breast Cancer Research Program, I was  
8 encouraged to hear those. The Three Generations Study  
9 that the Environmental Chemistry Lab is undergoing with  
10 the Breast Cancer Research Program, I think that's  
11 important. And as have been mentioned, the UC Berkeley  
12 Childhood Leukemia Study and the work with Kaiser and the  
13 BEST study. I mean, that's a very wonderful way to  
14 capture some efficiencies to piggyback on their sampling  
15 lab infrastructure, so that it saves the Program resources  
16 in that regard.

17 So the new results that have been posted have  
18 been circulated among my networks. And the new methods  
19 development, of course, will lead to more results being  
20 published in the future. So I just, you know, give my  
21 thanks to the staff once again for all of the work hard  
22 that has gone on under not always easy circumstances with  
23 budgetary constraints and so forth.

24 But as I've said to the Panel several times over  
25 the years and the Program's been in existence, getting

1 results out where people can see them I think is critical,  
2 so that advocates and others can talk about the successes  
3 of the Program and how it's contributing to public health  
4 and environmental health in the state, and that the  
5 legislature, among others, also becomes aware, to the  
6 degree that they maybe haven't up until now, the value of  
7 this Program. And this is especially important as the  
8 next year approaches and the funding prospects for the  
9 Program are once again somewhat up in the air.

10           So again, thanks for the continued work. And to  
11 echo what Dr. Wilson had said, looking at workplace  
12 exposures I think is an important activity that should be  
13 explored further, as it can mobilize and activate another  
14 sector of important populations in this state who can then  
15 support the Program.

16           And as a final note, Dr. Bradman mentioned this,  
17 Kathy Gerwig at Kaiser Permanente who's their vice  
18 president for workplace safety as well as their  
19 environmental stewardship officer, we worked with her.  
20 She was involved in the beginning from Health Care Without  
21 Harm. She's very creative and deeply knowledgeable about  
22 environmental health and encourage the Program to contact  
23 her and see how she might have some ideas on how BEST  
24 could be expanded and improved.

25           So thanks again.

1           CHAIRPERSON LUDERER: Thank you very much for  
2 those comments.

3           Do we have any other discussion or comments,  
4 questions from Panel members?

5           Dr. Quintana.

6           PANEL MEMBER QUINTANA: Hi. I wanted to  
7 congratulate you on the BEST study. I think it's very  
8 exciting. I did have a clarification question or maybe a  
9 suggestion for future BEST activities. When I was looking  
10 at the results and the recruitment strategy, it looked a  
11 little bit old fashioned, in the sense that California is  
12 different than the rest of the nation. And one way it's  
13 different is the number of people identified as mixed  
14 race. But there's no category in your recruitment for  
15 people identified as mixed race.

16           And it seems to me that what you're trying to  
17 achieve in BEST is to make sure that our population is  
18 represented. And some populations that are sometimes  
19 overlooked are not overlooked in this case, but it may be  
20 good to include additional categories, perhaps based on  
21 income, based on being born outside the United States or  
22 other issues that would also make sure we capture the  
23 populations that you're trying to capture.

24           And I also understand that -- I think I'm correct  
25 that the BEST study is only Kaiser patients. So, of

1 course, we're missing perhaps the currently uninsured or  
2 people outside the system. And I was just curious if  
3 they, in the future, might be gracious enough to even  
4 offer their labs for other populations that you might  
5 sample, which is probably a pipe dream, but just a  
6 thought, because I just feel like it's the idea of  
7 inclusiveness that you want to achieve, correct?

8 DR. FENSTER: I'll just mention, I wish I had  
9 picked up the hearing aids that I'm supposed to get  
10 yesterday. I think I canceled that.

11 I couldn't quite hear all of your questions, but  
12 I did hear that you're encouraging the Program to look at  
13 getting the tails of the distribution of a population that  
14 may not be, first of all, Kaiser members, and then  
15 secondly, the issue of trying to, in terms of the race  
16 ethnicity categories that served as recruitment.

17 My guess is that there are mixed race within  
18 those. I'll have to do, you know, more exploring. We did  
19 add on some acculturation questions that Dr. Van Den Eeden  
20 has examined previously which are very interesting in  
21 terms of relationship to health outpoints, and I imagine  
22 in terms of exposure assessment as well. So was there  
23 another question you had that I didn't cover?

24 PANEL MEMBER QUINTANA: That basically captures  
25 it. Sorry, I guess I need to lean forward a bit more.

1           CHAIRPERSON LUDERER:  Actually, I had another  
2 related question to that, which is whether you were able  
3 to, for the Pilot BEST and/or will be able to for the  
4 Expanded BEST look at the -- be able to compare those who  
5 were actually recruited to the people who were contacted  
6 via letters, you know, just sort of demographic  
7 characteristics to see if there are any major differences  
8 between those who were recruited and those who were not.

9           DR. FENSTER:  We are -- we're looking at -- we  
10 will be able to look at who responded and -- for example,  
11 in Expanded BEST, who used the on-line survey versus who  
12 requested a hard copy.  We'll be able to look at  
13 recruitment in both of those.  Although, I have to say  
14 part of the benefit of working with Kaiser is that it has  
15 so many members, and it has such a diverse membership,  
16 both race, ethnicity, and income, male, female.  So we  
17 tended to kind of bombard that population within our  
18 stratification design, rather than say in a typical epi  
19 study where you really pursue and be considered about your  
20 participation rate, per se.

21           That's a limitation, but also the strength of  
22 Kaiser and our resources, in terms of the first study  
23 having a phone call.  I want to say Expanded BEST, the  
24 recruitment now that we are getting into closing the  
25 study, one of the Kaiser staff has been calling people

1 that have consented and filled out a questionnaire --  
2 completed a questionnaire, but haven't yet donated their  
3 samples, alerting them that the study will be closing.  
4 You know, in many cases, they've lost their lab slip or  
5 they've been too busy. But we are actually contacting as  
6 many of those partial participants as we can, as the study  
7 moves towards closure.

8 CHAIRPERSON LUDERER: Thank you. Any other  
9 comments, questions from Panel members?

10 All right. Well, thank you very much for those  
11 very interesting presentations this morning.

12 So we're -- looks like we're finishing a little  
13 bit early. So we have -- I think we will allocate an hour  
14 and 25 minutes for lunch, as we had planned. So that  
15 would mean we would come back at 1:35. And -- no, sorry.  
16 I'm looking at the clock wrong. 1:20. 1:20.

17 And I just also wanted to say that prior to  
18 breaking for lunch, Fran Kammerer, who is the staff  
19 counsel for OEHHA, is going to give us a reminder about  
20 Bagley-Keene upon returning from lunch and call the  
21 meeting back to order. Upon returning to lunch, we'll  
22 call the meeting back to order and then I'll introduce the  
23 next agenda item.

24 So, Fran.

25 STAFF COUNSEL KAMMERER: Good morning. I'd just

1 like to remind the Panel members that this Committee is  
2 subject to the Bagley-Keene Open Meeting Act. So I'd like  
3 you to refrain from discussing Panel subjects, if  
4 possible, that have been discussed or will be discussed  
5 today, and try to wait and discuss them here, so the  
6 public can participate in that.

7 Thank you.

8 CHAIRPERSON LUDERER: All right. Thank you.

9 Then, everyone, enjoy your lunches and we will  
10 see you back at 1:20.

11 Thank you.

12 (Off record: 11:47 AM)

13 (Thereupon a lunch break was taken.)  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

1                   A F T E R N O O N   S E S S I O N

2                   (On record: 1:28 PM)

3                   CHAIRPERSON LUDERER: All right. Now, that we're  
4 all back from lunch, I'd like to call the meeting back to  
5 order. Welcome you all back, and introduce the next  
6 agenda item.

7                   So the next agenda item is going to be  
8 consideration of selected aroma chemicals as potential  
9 designated chemicals. And I'd like to induce Dr. Gail  
10 Krowech, who's a staff toxicologist with OEHHA.

11                  Dr. Krowech.

12                  (Thereupon an overhead presentation was  
13 presented as follows.)

14                  DR. KROWECH: Good afternoon. Is it on now?

15                  Okay. So I'm going to present work that Laurel  
16 Plummer, Sara Hoover, and I've done on these potential  
17 designated classes of chemicals.

18                                   --o0o--

19                  DR. KROWECH: First though, just to go over what  
20 designated chemicals are. And they're chemicals that can  
21 be considered for biomonitoring by the Program. They  
22 consist of chemicals that are part of CDC's National  
23 Reports on Human Exposure to Environmental Chemical  
24 Program, and chemicals that the Scientific Guidance Panel  
25 has recommended be added to the list of designated

1 chemicals.

2 --o0o--

3 DR. KROWECH: As background for today's  
4 discussion, at the November 2012 Scientific Guidance Panel  
5 meeting, the Program presented four -- a screening of four  
6 classes of synthetic musks and a structurally related  
7 aroma chemical, Iso E Super. And that meeting, the Panel  
8 requested documents to support consideration of these  
9 aroma chemicals as potential designated chemicals.

10 --o0o--

11 DR. KROWECH: For today, we are going to consider  
12 two classes of chemicals. They're structurally related  
13 and would have a common analytical method. The synthetic  
14 polycyclic musks and the class tetramethyl  
15 acetyloctahydronaphthalenes, which is the class of  
16 chemicals to which Iso E Super belongs.

17 In terms of the other classes not under  
18 consideration today, we did some research on nitro musks,  
19 and think that there will be very low -- there is very low  
20 or no current use. There's probably low use of musk  
21 ketone. Musk xylene was identified as very persistent and  
22 very bioaccumulative under REACH and banned by the  
23 International Fragrance Association and then banned by the  
24 European Union.

25 There are methods in the literature that look at

1 polycyclic musks and nitro musks using the same method.  
2 And so if in volunteer specimens the laboratory finds  
3 nitro musks, then we can come back and consider them --  
4 bring them forward for potential designation at that time.  
5 Macrocyclic musks and alicyclic musks will be looked at at  
6 a future meeting.

7 --o0o--

8 DR. KROWECH: So I'm just going to show example  
9 structures of these two classes now. These are examples  
10 of polycyclic musks: HHCB, and AHTN. The names at the  
11 bottom of this slide are names that correspond to the  
12 abbreviations. The full chemical names are in the  
13 documents.

14 --o0o--

15 DR. KROWECH: This is a tetramethyl  
16 acetyloctahydronaphthalene, which is structurally similar  
17 to some polycyclic musks. And here comparing it to AHTN.

18 --o0o--

19 DR. KROWECH: Before going further, I just want  
20 to list the criteria for the Panel to recommend designated  
21 chemicals. They are: exposure or potential exposure to  
22 the public or specific subgroups; known or suspected  
23 health effects, based on peer-reviewed scientific studies;  
24 the need to assess the efficacy of public health actions;  
25 the availability of biomonitoring analytical methods, the

1 availability of adequate biospecimen samples; the  
2 incremental analytical costs. And we always add that  
3 these criteria are not joined by "ands".

4 --o0o--

5 DR. KROWECH: Now, I'll start going through  
6 polycyclic musks, and then follow that with the other  
7 class.

8 Polycyclic musks are widely used in personal care  
9 products and in some cleaning products. They were  
10 introduced as replacements for nitro musks. And their use  
11 increased in the nineties as nitro musks started to  
12 decrease. We highlighted in the document two polycyclic  
13 musks, HHCB and AHTN, which have been the two most  
14 commercially important musks.

15 --o0o--

16 DR. KROWECH: This slide shows other polycyclic  
17 musks that have been in use. And again, the full chemical  
18 names are in the documents -- in the document. I just  
19 want to point out that the musk on the bottom right AETT  
20 was prohibited by IFRA, the International Fragrance  
21 Association, in 1977, and was prohibited because of  
22 toxicity.

23 --o0o--

24 DR. KROWECH: This slide looks at  
25 import/production volume. The levels are from -- are what

1 was reported to U.S. EPA. And you can see with HHCB these  
2 levels look fairly consistent, with HHCB being a high  
3 production volume chemical since 1994. And the specific  
4 number in 20 -- reported in 2012 has to do with the new  
5 reporting rules.

6 In terms of AHTN, it's a little harder to get the  
7 story there. It was reported as CBI to U.S. EPA in 2012,  
8 confidential business information. We were able to get  
9 the volume of use for North America from IFRA, the  
10 International Fragrance Association. And I've also  
11 included DPMI, another of the polycyclic musks here.

12 --o0o--

13 DR. KROWECH: These are examples of the use of  
14 polycyclic musks in personal care products, just to give a  
15 flavor of where they're used.

16 --o0o--

17 DR. KROWECH: And here's more use in household  
18 products. Also, I just want to point out, that not only  
19 are they used to provide a fragrance, but they can also be  
20 used to mask odors. And if something says unscented, it  
21 doesn't necessarily mean that these chemicals aren't in  
22 there.

23 --o0o--

24 DR. KROWECH: This is from a paper that looked at  
25 specific levels of HHCB and AHTN in personal care and

1 household products from the U.S., from Albany, New York.  
2 And you can see the levels are quite high. One of the  
3 things that was found in this study was that a number of  
4 products had both HHCB and AHTN in it.

5 --o0o--

6 DR. KROWECH: And this is from another study that  
7 looked at many of the similar personal care products and  
8 household cleaning products. And so I'm just giving more  
9 of a flavor of what was found in this study as well and  
10 the ranges. And also, this study looked for DPMI and  
11 found it in a couple of products.

12 --o0o--

13 DR. KROWECH: This study shows house dust that  
14 was part of the Canadian house dust study with samples  
15 collected between 2007 and 2010. And as you can see, both  
16 HHCB and AHTN were detected in 100 percent of the  
17 households. And also, I should mention that both HHCB and  
18 AHTN have been found in indoor air.

19 --o0o--

20 DR. KROWECH: The main environmental source is  
21 effluent from wastewater treatment plants. And HHCB and  
22 AHTN have been detected in fish caught in effluent waters  
23 in sewage sludge, in some drinking water, and -- oops, how  
24 do I go backwards?

25 There we go. And in one study they were both

1 found in runoff from agricultural fields irrigated with  
2 treated wastewater.

3 --o0o--

4 DR. KROWECH: Polycyclic musks have been detected  
5 in biota, most notably in bivalves from the San Francisco  
6 Bay. Not only HHCB and AHTN, but ADBI and AETT were  
7 detected. They've been detected in fish, and the levels  
8 have been dependent on location, and on metabolism and  
9 lipid content of the fish.

10 They've been found in low levels in marine  
11 mammals. One study was interesting in finless porpoises  
12 in Japan. This was published in 2005, and they looked at  
13 eight porpoises. And for three of those porpoises both  
14 the porpoise and its fetus -- and in one -- two, they were  
15 not able to measure the fetus because they were so  
16 immature. But in the third, the level in the fetus was  
17 comparable to the level in its mother.

18 --o0o--

19 DR. KROWECH: And this slide I'm just going to  
20 point out some of the indications of biological activity.  
21 There have been indications of endocrine activity, weak  
22 estrogenicity in reporter gene assays, inhibition of  
23 estrogen, androgen, and progesterone receptor activity.  
24 Also in reporter gene assays.

25 In vivo, there's -- using the same type of

1 reporter gene assay, they showed anti-estrogenicity in  
2 transgenic zebrafish. In a recent study, looking at  
3 steroidogenesis - it's a 2013 study - found that there was  
4 decreased progesterone and cortisol synthesis based on  
5 down-regulation of the enzymes responsible for their  
6 synthesis.

7 In terms of other biological activity, another  
8 recent 2013 paper found that -- reported that AHTN caused  
9 changes in the activation of certain signaling pathways in  
10 mouse embryonic stem cells. One paper looked at several  
11 polycyclic musks in efflux transporters in mussel gill  
12 tissue. And found that the musks inhibited the  
13 transporters. These are regard -- transporters are  
14 regarded as a first line of defense limiting absorption of  
15 foreign chemicals.

16 One interesting note about this paper was they  
17 showed that low levels of several musks could inhibit  
18 together -- combined, could inhibit the efflux  
19 transporters to the same degree as a higher level of a  
20 single musk.

21 --o0o--

22 DR. KROWECH: Polycyclic musks all look fairly  
23 lipophilic. And you can see the list of them right here,  
24 or all the ones that we could find, in terms of the Log  
25  $K_{ow}$ . And so you would think that they would

1 bioaccumulate, and they do have potential to bioaccumulate  
2 in some species. The bioaccumulation factors and  
3 bioconcentration factors have a wide range however, and  
4 it -- you know, from one study, it really looks like  
5 whether or not these chemicals bioaccumulate is dependent  
6 on both the lipid content and whether -- and the degree of  
7 metabolism in that particular species. So low metabolism,  
8 high lipid content, you would see bioaccumulation.

9           There's some indications of persistence.

10                   --o0o--

11           DR. KROWECH: There are a number of biomonitoring  
12 studies that are detailed in the document. And most of  
13 them are from Europe and Asia. Very few studies are from  
14 the U.S. Of the studies that looked at use of personal  
15 care products, most of them reported that increased levels  
16 of personal care products was associated with  
17 increased -- with higher levels of polycyclic musks.

18                   --o0o--

19           DR. KROWECH: And this is a summary from -- or  
20 the details from one -- I think the one study in breast  
21 milk from the U.S. And you can see that HHCB was detected  
22 in 97 percent of the individuals. This study -- the  
23 samples were collected for the study in 2004, so it's  
24 almost 10 years old.

25           One of the things that the study found was

1 that -- they found an association between use of products  
2 and higher levels. They did not find an association  
3 between age and higher levels of musks, which again makes  
4 it -- sort of reinforces the point of the study that I  
5 mentioned that looked at bioaccumulation and metabolism.

6           There was a relationship between -- although it  
7 was not significant between number of children breast-fed  
8 and levels, so that levels were lower in women who had  
9 previously breast-fed a child.

10                   --o0o--

11           DR. KROWECH: Now, I'm going to switch gears and  
12 talk about tetramethyl acetyloctahydronaphthalenes. This  
13 chemical structure is for OTNE. OTNE is used to refer to  
14 a mixture of isomers, and sometimes just to one isomer,  
15 the beta isomer of this class. It has a woody, floral and  
16 amber fragrance. And it's widely used in personal care  
17 products and in some cleaning products.

18                   --o0o--

19           DR. KROWECH: This is what has been reported for  
20 the four isomers of -- four isomers that we have  
21 identified so far, in terms of the production/import  
22 volume that was reported to U.S. EPA.

23           So the beta isomer is definitely high throughout,  
24 has increased -- has shown an increase, and we can't  
25 really tell for the other isomers based on the CBI in

1 2012.

2 --o0o--

3 DR. KROWECH: Again, these are examples of use in  
4 personal care products and cleaning products. And like  
5 the polycyclic musks, the tetramethyl  
6 acetyloctahydronaphthalenes also can be used to mask  
7 odors.

8 --o0o--

9 DR. KROWECH: This is from the same Canadian  
10 house dust study. And it shows the house -- the levels of  
11 OTNE in vacuum cleaner dust with a detection of 82  
12 percent. And so I've put the levels of the polycyclic  
13 musks, so that they can be compared to OTNE. And OTNE was  
14 the third most important fragrance that -- in terms of  
15 levels in dust in the study.

16 --o0o--

17 DR. KROWECH: In terms of environmental  
18 occurrence, the main environmental source is effluent from  
19 wastewater treatment plants. OTNE has been detected in  
20 influent and effluent wastewater, in sewage and sewage  
21 sludge with levels comparable to the polycyclic musks,  
22 HHCB and AHTN.

23 --o0o--

24 DR. KROWECH: In terms of bioaccumulation and  
25 persistence, the chemicals seem fairly lipophilic.

1 Bioconcentration factors do not suggest bioaccumulation  
2 and generally they are below 1,000. There are few  
3 published studies on persistence. And based on the data  
4 that we've seen so far, there doesn't seem to be evidence  
5 of persistence.

6 --o0o--

7 DR. KROWECH: There are also few toxicological  
8 data for this class of chemicals that are publicly  
9 available. And structural -- it's structurally similar to  
10 AHTN, which has shown some potential for endocrine and  
11 other biological activity.

12 --o0o--

13 DR. KROWECH: So the next two slides are summary  
14 slides for the polycyclic musks. They are in high levels  
15 in personal care and household cleaning products. They  
16 have a potential to bioaccumulate in some species.  
17 There's a potential for endocrine and other biological  
18 activity. They've been detected in various environmental  
19 samples, including house dust. And they've been detected  
20 in human blood, breast milk, adipose tissue samples.

21 --o0o--

22 DR. KROWECH: In terms of the tetramethyl  
23 acetyloctahydronaphthalenes, OTNE is a high production  
24 volume chemical. There has been an increase over time in  
25 the use of OTNE. It's been detected in dust, wastewater

1 treatment plant influent and effluent, and in biosolids,  
2 and is structurally similar to AHTN.

3 --o0o--

4 DR. KROWECH: In terms of laboratory analysis,  
5 the methods for analysis of some of these chemicals are  
6 available in the literature. The laboratory, and that  
7 would be the Environmental Chemistry Laboratory, would  
8 develop methods to measure polycyclic musks and  
9 tetramethyl acetyloctahydronaphthalenes in serum samples.  
10 Analysis of these two classes could likely be bundled.

11 --o0o--

12 DR. KROWECH: In terms of the need to assess the  
13 efficacy of public health actions, there's widespread use  
14 of these aroma chemicals in California and in the U.S.  
15 Biomonitoring would determine whether these chemicals are  
16 found in California residents and at what levels, and  
17 would also allow us to track these levels over time.

18 --o0o--

19 DR. KROWECH: In terms of options for the Panel.  
20 The Panel can designate synthetic polycyclic musks as a  
21 class; can designate -- the Panel can designate  
22 tetramethyl acetyloctahydronaphthalenes as a class; the  
23 Panel can postpone a decision; or, the Panel can decide  
24 against designating.

25 --o0o--

1 DR. KROWECH: And I'm happy to take any  
2 questions.

3 CHAIRPERSON LUDERER: Thank you very much for  
4 that interesting presentation, and also for the very  
5 thorough documents that we received for review.

6 Why don't we start out with any clarifying  
7 questions that Panel members might have?

8 Dr. Quint.

9 PANEL MEMBER QUINT: Yes. Thank you, Gail, for a  
10 great report and presentation. You mentioned that xylene  
11 musk was banned for toxicity reasons. What was the  
12 toxicity?

13 DR. KROWECH: Actually, it was banned because it  
14 was very persistent and very bioaccumulative.

15 PANEL MEMBER QUINT: Oh, okay. Great. Thanks.

16 DR. KROWECH: Can I continue my response?

17 PANEL MEMBER QUINT: Sure.

18 DR. KROWECH: You might have been thinking of the  
19 polycyclic musks, is that what you were --

20 PANEL MEMBER QUINT: Oh, right. Something was  
21 banned.

22 DR. KROWECH: Right, was prohibited from use.

23 PANEL MEMBER QUINT: Prohibited from use.

24 DR. KROWECH: Right. And that's one of the  
25 musks. Let me see if can I get to it.

1           PANEL MEMBER QUINT: The polycyclic musks were  
2 not used as much in Europe, right? Is that declining?

3           DR. KROWECH: There is definitely declining use  
4 there, but it does not appear to be declining here.

5           PANEL MEMBER QUINT: Right.

6           DR. KROWECH: I'm coming to it.

7           There we go. The one at the bottom right, this  
8 was. From what I understand in routine tests, dermal  
9 tests in rats, in the seventies they notice -- late  
10 seventies, they noticed a blue color on the rats on the  
11 skin. Then did further investigation, and internal organs  
12 were also blue. And what they basically -- the  
13 pathological basis for this was basically there was a  
14 demyelination of nerves that was occurring.

15           PANEL MEMBER QUINT: That's interesting. Thanks.

16           CHAIRPERSON LUDERER: I just have a clarifying  
17 question about the tetramethyl  
18 acetyloctahydronaphthalenes, which is a mouthful. So the  
19 four OTNE, those isomers that you mentioned, in addition  
20 to those, are there a lot of other chemicals in this class  
21 that would, if it were designated as a class, would also  
22 be included or is it just those four?

23           DR. KROWECH: Those are the four that we have  
24 identified so far. And so I can't really answer that.  
25 I'm not sure. Those are the ones that we were able to

1 look up production -- import/production volume for. We  
2 don't know. There might be other -- there clearly are  
3 other isomers, but whether they're in commercial use, we  
4 don't know. And it seems like they are a mixture. And so  
5 they have -- they're in different portions for different  
6 products. And I think these are the main ones. The U.S.  
7 EPA workplan listed those four isomers, so we consider  
8 them to be the main ones.

9 CHAIRPERSON LUDERER: And so those four isomers  
10 do kind of have different uses, because you were just  
11 mentioning that they used different proportions of the  
12 isomers, depending on the product?

13 DR. KROWECH: I think that there is some --  
14 usually the beta isomer is the one in the greatest  
15 proportion. But there is some commercial use to -- you  
16 know, something is enriched in a certain isomer. It's  
17 hard to get all the information. So that's as much as we  
18 have, I think.

19 CHAIRPERSON LUDERER: Dr. Quint, you had a  
20 question.

21 PANEL MEMBER QUINT: I just had a quick follow-up  
22 to that. So I was intrigued by the fact that it  
23 was -- they claimed CBI for three of the isomers and not  
24 the -- so are they made by different manufacturers or  
25 something? I mean, are they all beta isomers made -- I'm

1 trying to figure out why you would report the beta isomer,  
2 how much is made -- manufactured, and then claim CBI for  
3 the others? It doesn't -- I mean, unless -- you have no  
4 clue --

5 DR. KROWECH: I don't have the answer to that.

6 PANEL MEMBER QUINT: Yeah, so it's one -- it's  
7 just one manufacturer --

8 DR. KROWECH: No.

9 PANEL MEMBER QUINT: -- involved here?

10 DR. KROWECH: No, there's not.

11 PANEL MEMBER QUINT: Okay. So that --

12 DR. KROWECH: Oh, yeah. Okay.

13 PANEL MEMBER QUINT: It's confusing.

14 DR. KROWECH: I'm trying to remember. I think  
15 there's several for different -- for the different  
16 isomers.

17 PANEL MEMBER QUINT: Isomers. Okay. We're not  
18 talking about the same. Got it.

19 DR. KROWECH: Right.

20 PANEL MEMBER QUINT: And what is the basis for  
21 including these in the EPA workplan? What's the basis?  
22 This is just because -- because I know they are interested  
23 in fragrances.

24 DR. KROWECH: Right.

25 PANEL MEMBER QUINT: So -- but it's the state of

1 toxicity --

2 DR. KROWECH: Well, I know high use was part of  
3 that.

4 PANEL MEMBER QUINT: What?

5 DR. KROWECH: I know high use was part of that.  
6 And it might have been concerns about persistence as well.

7 PANEL MEMBER QUINT: Okay.

8 DR. KROWECH: I'm pretty sure that's what it was.

9 PANEL MEMBER QUINT: Yeah, because the EPA Design  
10 for the Environment has worked with a group and come up  
11 with criteria for fragrances. And I'm not sure where this  
12 all fits in. I didn't get a chance to really look at  
13 their criteria. But are you familiar with what -- because  
14 they're trying to come up with safer fragrances.

15 DR. KROWECH: Yeah, right.

16 PANEL MEMBER QUINT: And they have some criteria  
17 for what a fragrance shouldn't, you know, be in order to  
18 be considered safe. And I was just wondering how all of  
19 this fit in with that?

20 DR. KROWECH: I'm not familiar with that.

21 PANEL MEMBER QUINT: Yeah, I'll figure it out.

22 CHAIRPERSON LUDERER: Dr. Fiehn.

23 PANEL MEMBER FIEHN: Thanks. I'm interested in  
24 understanding better how these compound classes compare to  
25 other classified or designated chemicals, in terms of

1 their bioactivities. So I see here that there's very  
2 little evidence for biological activity. Few studies seem  
3 to have been carried out, although they are widespread  
4 used.

5           And I'm wondering, you know, if we look at these  
6 classes in comparison to say BPAs or phthalates and so on,  
7 where there is an abundant literature on biological  
8 activities. So do we have here enough evidence, or how  
9 are the reports relating to the designated chemical  
10 classes?

11           DR. KROWECH: Well, I think it's true. There  
12 have not been, you know, very many studies on these  
13 chemicals. And for some designated chemicals we  
14 definitely have a lot of information. There are others  
15 that we have designated where we have had some information  
16 on biological activity and a lot of information on  
17 exposure, and have designated based on that, because we  
18 don't really know, and there is evidence -- there's so  
19 much of it, and we've designated on the basis of the  
20 indications of biological activity and the exposure.

21           And I can think of triclosan being one of -- not  
22 triclosan. I'm sorry, triclocarban, and some of the class  
23 of brominated and chlorinated flame retardants where we  
24 had obviously a lot of information on some, but not on  
25 other members of the class. And I guess similar to that,

1 we designated the class of non-halogenated aromatic  
2 phosphates, where again there wasn't a whole lot of  
3 information.

4 CHAIRPERSON LUDERER: Dr. Wilson, then Dr.  
5 Bradman, then Dr. McKone.

6 Dr. Wilson.

7 PANEL MEMBER WILSON: Okay. Thank you, Dr.  
8 Krowech. It's just a clarifying question, and that -- and  
9 maybe it's what Dr. Quint was asking, but that -- there  
10 was -- the toxicological data for the OTNE, the OTNE one,  
11 is a little bit more limited. But the International  
12 Fragrance Association has placed limitations or  
13 restrictions on its use. And I'm just -- I'm curious if  
14 that's -- what was the basis for that action by the  
15 Association?

16 DR. KROWECH: I'm not sure.

17 PANEL MEMBER WILSON: Was it a toxicology  
18 question or sort of it's -- yeah, we don't. Okay.

19 DR. KROWECH: Oh. Okay. Laurel just told me  
20 it's dermal sensitization.

21 PANEL MEMBER WILSON: Okay. Thank you.

22 CHAIRPERSON LUDERER: Dr. Bradman.

23 PANEL MEMBER BRADMAN: I just had a quick  
24 question was it the -- I sent the DfE, Design for the  
25 Environment, criteria on fragrances the link to you and to

1 you and to the Biomonitoring Program. So my question is  
2 other than EPA, has any of the CDC, NHANES, any of the  
3 other federal agencies expressed an interest in this? And  
4 is there any method development work or biomonitoring  
5 being considered at the CDC?

6 DR. KROWECH: CDC is not doing the biomonitoring.  
7 I know that the New York Biomonitoring Program has done  
8 some work on this. I don't think they're doing anything  
9 now, but they did -- the people who did the work in the  
10 mid-2000s were from that group. And I think they've done  
11 some work in dust.

12 But I don't know about CDC. They actually did  
13 some methods work about 10 years ago and didn't pursue it.

14 CHAIRPERSON LUDERER: Dr. McKone.

15 PANEL MEMBER MCKONE: Yeah, I want to sort of  
16 reiterate your point, first, about how we designate in  
17 terms of toxicity, and because we're not -- you know, we  
18 don't designate based on risk, or really even hazard,  
19 although, if something is of interest for potential.

20 And I think it goes back even as far as the  
21 cyclic -- maybe the cyclic siloxanes(sic) were the first  
22 case where, you know, this issue came up about well,  
23 there's not clear evidence of toxicity, but the reason we  
24 thought they were important for designation was large  
25 production. There was clearly widespread use, and I think

1 a belief that we were likely to see something in terms of  
2 exposure that would be important, whether or not it was  
3 toxic -- as long as -- I mean, if something is no evidence  
4 of toxicity at all, you probably wouldn't be interested in  
5 it, but I think it's probably sufficient.

6 So I guess the question -- sort of a comment, but  
7 really a question is it looks like these are chemicals  
8 that certainly meet our criteria, or implicit criteria,  
9 that they're likely to be -- we're likely to learn  
10 something important by designating them and looking at  
11 them.

12 I mean, anything that is, you know, produced in  
13 large volume, used in -- intimate to the consumer, right,  
14 or they're used in the home, they're used in care  
15 products, so there's a high likelihood. And there are a  
16 lot of questions about patterns and trends that we  
17 probably would be interested in. So, I mean, again, I'm  
18 sort of thinking, is that what seems to come up with  
19 the -- I think the full set of compounds kind of meets  
20 this criteria that something that would be high priority  
21 for wanting to track what's going on because of their use,  
22 likely persistence, and they're -- you know, high  
23 production and use in a case where they're very close,  
24 very proximate to the exposed individual.

25 DR. KROWECH: Yes. Thank you. Glad that you

1 remembered to bring up the cyclosiloxanes, because it  
2 slipped my mind.

3           PANEL MEMBER MCKONE: Yeah. As I recall, that  
4 might have been the first time we really had to deal with  
5 something that was not on the CDC list, and it was not so  
6 much because of historical concerns about exposure, but  
7 because it was something that was a rising trend and we  
8 wanted to make sure the Biomonitoring Program could not  
9 only look backwards into what we already knew was of  
10 concern, but also look forward to things that are entering  
11 the marketplace and have characteristics that we thought  
12 would be important for attracting them.

13           CHAIRPERSON LUDERER: Dr. Quint.

14           PANEL MEMBER QUINT: Yes. I was going to make  
15 that same point about the siloxanes, because it was the  
16 chemical. And it's basically the same type of thing,  
17 indoor air. It's -- you know, these chemicals are  
18 used -- I mean, they're inside the home, personal care  
19 products, and cleaning agents.

20           I was also struck by the fact that in some of the  
21 studies it was the younger age people -- you know, people  
22 of younger age seemed to have, you know, the most  
23 exposure, so people who use personal care products a lot.  
24 And it followed that trend. So I think it pretty -- it  
25 is, you know, very similar. You know, and the data that

1 we do have, I think is even of more concern than the  
2 siloxanes, you know, because of the estrogenic activity.  
3 So I think both sets of chemicals certainly fit our  
4 criteria for designation.

5           And like Dr. McKone, I think we can learn a lot  
6 about, you know -- and the other thing that strikes me is  
7 unlike a lot of chemicals that -- and I say this -- I  
8 mean, it seems that we don't have to have these fragrances  
9 in everything. That we do -- that we could have products  
10 without fragrances. It's not -- so functionally, I don't  
11 think they are high priority chemicals. You know, I'm  
12 sure to the people who make them they are, but in terms of  
13 having them in everything, you don't even -- if they're in  
14 unscented things that we don't even know they're there,  
15 then I think it's a real problem.

16           CHAIRPERSON LUDERER: I actually wanted to second  
17 what Dr. Quint and Dr. McKone said. And I think also to  
18 add that the other thing that was striking was for the  
19 polycyclic musks that there have been quite a bit of  
20 biomonitoring studies that you presented, and the  
21 prevalence, you know, was 100 percent in many of them, you  
22 know, whether they were looking at blood or breast milk or  
23 adipose tissue. So I found that quite striking. It was a  
24 little bit less so for the OTNE. But even there, there  
25 was a fairly high prevalence in some of the few studies

1 that there were.

2           And another thing that I found striking was in  
3 addition to the estrogenic activity, it was interesting  
4 that they had looked at anti-estrogenic, anti-androgenic,  
5 anti-progestogenic activity. And I was really struck by  
6 the nanomolar concentrations for the effective  
7 concentration 50 for -- I can't remember if it was one of  
8 the polycyclic -- yeah, so I think I'm definitely -- I'm  
9 in agreement with Dr. Quint and Dr. McKone.

10           Before we move on to talking about making a  
11 motion, do we have any public comments on -- I know we  
12 actually have one public comment from before lunch, which  
13 I will read, and it looks like we have a public comment  
14 here as well.

15           DR. DiBARTOLOMEIS: Michael DiBartolomeis. This  
16 is actually a question -- a clarifying question, more or  
17 less, but you started bringing this up, Julia. I thought  
18 I heard you say just because something says fragrance free  
19 doesn't mean that these chemicals aren't still in those  
20 products. Did you say something like that?

21           Unscented. Okay, unscented fragrance free. So  
22 is there another function for these chemicals besides  
23 fragrances? That's my question. So if a chemical -- if a  
24 product has an odor, they can be put in there as sort of  
25 anti-odor, interesting.

1           So I hadn't -- because that can I've just made me  
2 wonder why you would put these in there, if you don't want  
3 to have a fragrance. So there is another functionality  
4 apparently, which -- so it could be such an onerous odor,  
5 that people wouldn't buy the product if you didn't have  
6 something in there to counter -- anyway, I just throw that  
7 out there for why possibly these are being used, even  
8 though they're not, you know, meant to be, you know, a  
9 fragrance.

10           PANEL MEMBER MCKONE: Could I make a follow up to  
11 that point? I mean, I think it's interesting that we're  
12 getting into questions of the utility, which I think is  
13 interesting. But in terms of designation, I don't -- even  
14 if it were an essential product, right, we're not trying  
15 to decide whether it belongs in -- or whether any chemical  
16 belongs in a product, but if it's of interest for our  
17 criteria that it has some health concerns and it's  
18 persistent. It's in large volumes, I think we would -- I  
19 we're kind of neutral as to whether it's essential to  
20 commerce or essential to something else, but we're just  
21 mainly driven by the curiosity, the need to really -- not  
22 the curiosity, but the need to track these for health  
23 studies for trends, for exposure assessment in general.

24           I mean, although I think it might -- you know,  
25 again beyond our Panel's goals, somebody would want to

1 bring in some if something were essential to nutrition or  
2 make a product, you know, more acceptable in some way.  
3 That might come into somebody else's decision, but I don't  
4 think it really enters into our decision about  
5 designation.

6 CHAIRPERSON LUDERER: Dr. Quint.

7 PANEL MEMBER QUINT: I agree with that, that it  
8 doesn't enter into our deliberations here, as to whether  
9 or not we designate. I think I was wearing my green  
10 chemistry safer alternatives hat, because in that process  
11 when we were trying to, you know, think about which  
12 chemicals need to have a safer alternative, one of the  
13 questions we ask in that arena is whether or not, you  
14 know, it's essential to function.

15 And so I was wearing that hat, but I would agree  
16 with that. But in some cases, masking -- if this is a  
17 cleaning product, and you're masking an odor, I think it  
18 can be harmful to workers, because, you know, it -- one  
19 of -- you know, the warning properties of chemicals are a  
20 big deterrent. I mean, a protective measure in a lot of  
21 workplaces.

22 So if you have a chemical that has an odor, even  
23 though odor is not toxicity, it is -- it does provide a  
24 warning that you're having some exposure. So in cases  
25 like that, if it's used to mask, you know, something, then

1 that could be harmful, not if you're putting it on your  
2 body, I mean I guess, if you don't want it to smell bad.  
3 But for occupational purposes, masking odors could be not  
4 a good thing.

5 CHAIRPERSON LUDERER: Dr. Wilson.

6 PANEL MEMBER WILSON: I'm just sort of picking up  
7 on that point from both Dr. Quint and Dr. McKone that  
8 specifically with regard to the Panel's criteria for  
9 recommending designated chemicals, you know, one is  
10 exposure and potential exposure. And I think Dr.  
11 Krowech's document and her presentation provide, you know,  
12 really striking evidence, in that we have a high  
13 production volume set of substances here, notwithstanding  
14 the CBI claims. They're the most important used  
15 commercially. They're used in multiple products, and I  
16 would add, would include worker exposures, and primarily  
17 domestic workers.

18 And also on the also exposure side, the evidence  
19 of bioaccumulation and persistence -- environmental  
20 persistence I think is reasonable, and in some cases that  
21 you cited is pretty compelling. And the -- and what I  
22 think is striking evidence of exposure potential in the  
23 dust samples that were reported, and that the exposures  
24 are likely occurring during fetal development. And that  
25 they -- the substances, I would think, would -- you know,

1 appear to be transmitted to an infant in breast milk.  
2 There was some limited evidence to that effect.

3           So I think, you know, you've put together a very,  
4 I think, strong case for -- on the exposure side, and  
5 there's also the evidence of the -- you know, the  
6 endocrine activity, the hormone signaling effects, and so  
7 forth.

8           So I think you've done a great job here, and I  
9 commend you for that work. And so I think we have a set  
10 of substances here that very clearly meets the criteria  
11 for designation.

12           CHAIRPERSON LUDERER: Thank you. Do we have any  
13 additional Panel comments before we take the public  
14 comments?

15           Okay. All right. So we have two public  
16 comments, one of which is actually from before lunch. It  
17 came in late via the internet and it's actually from Sandy  
18 McNeel regarding the FOX study. So I'm just going to read  
19 that now. It relates to one of the questions that was  
20 brought up during the discussion.

21           She said -- Titled, "Union Involvement within FOX  
22 Study". A combined firefighter union/Orange County Fire  
23 Authority management committee was involved and updated  
24 throughout the FOX study. I don't believe that we asked  
25 their direct input to encourage participation during the

1 results communication email survey, so that suggestion is  
2 a good point for the future. Thanks to Dr. Wilson for  
3 bringing this up".

4 All right. Kind of backtracking a little bit,  
5 but that clears up one of the questions that we had.

6 All right. And then we have a public comment for  
7 this session. And the commenter is Nicole Quinonez -- I'm  
8 not sure if I'm -- Quinonez -- from the International  
9 Fragrance Association of North America.

10 MS. QUINONEZ: Good afternoon. It's Nicole  
11 Quinonez, so you were very close. So I'm here  
12 representing the International Fragrance Association of  
13 North America. Unfortunately, I'm not a technical expert.  
14 They're are all located over in Washington D.C. area. And  
15 they apologize they couldn't be here today, but definitely  
16 wanted to extend the offer to come out at a later meeting  
17 and present. It sounds like you guys have some really  
18 good technical questions I know that they would like to  
19 talk to you about.

20 So I just wanted to use today as an opportunity  
21 to kind of talk about the Association, as well as the  
22 Research Institute for Fragrance Materials, and highlight  
23 how we've been working with the Biomonitoring Program  
24 since last November when you guys first started looking at  
25 the synthetic musks.

1           So IFRA North America represents the fragrance  
2 materials industry here in the United States and in  
3 Canada, but they are a part of IFRA Global, which their  
4 membership supplies 90 percent of the global market for  
5 fragrance compounds. And the primary concern or goal of  
6 the Association is to ensure the safety of fragrance  
7 ingredients in the industry's products. Our member  
8 companies are strongly committed to the IFRA Code of  
9 Practice, which is the highest safety and environmental  
10 standards for fragrance manufacturing and fragrance  
11 ingredients.

12           These standards amount to 174 substances which  
13 have been either banned or restricted in their use in  
14 fragrance products. This is a self-regulating program.  
15 All members of IFRA are required, as a condition of  
16 membership, to observe the IFRA Code of Practice.

17           IFRA North America and the Research Institute for  
18 Fragrance Materials or RIFM, are both internationally  
19 recognized as experts on fragrance materials, partly  
20 because of the Code of Practice and their research that  
21 they do.

22           So since the late 1960s RIFM has been at the core  
23 of the safety and evaluation program used by the fragrance  
24 industry. They gather and analyze scientific data. They  
25 also produce their own testing when they recognize that

1 there's a data gap. They have an independent panel of  
2 academic experts that reviews their data, and then  
3 encourages uniform safety standards related to the  
4 fragrance -- use of fragrance ingredients.

5 The fragrance industry has put much time and  
6 effort into the evaluation of musks and Iso E Super. And  
7 there is significant information and data available on  
8 exposure, use, and hazard of these materials, some of  
9 which were mentioned in Dr. Krowech's presentation. We've  
10 also provided unpublished studies, which we understand is  
11 not used in your consideration for designating.

12 So we do have a history of working with federal  
13 and State agencies to provide the relevant information on  
14 fragrance materials for use in their assessments. And  
15 shortly after the biomonitoring meeting last November, the  
16 fragrance industry began proactively sharing that  
17 information and research with OEHHA staff.

18 Members of IFRA and RIFM have made themselves  
19 available as a resource to the Biomonitoring Program.  
20 They've proactively submitted dossiers and general uses  
21 information on representative musks and Iso E Super.

22 Recently, published and unpublished information  
23 on HHCB and Iso E Super was provided to the U.S. EPA under  
24 Toxic Substances Control Act workplan. We provided that  
25 same information to OEHHA staff along with additional musk

1 data, including the volume of use information that Dr.  
2 Krowech reported.

3           By submitting information to the Biomonitoring  
4 Program, including these volume of use data, IFRA was  
5 making confidential business information public. So just  
6 to reiterate, you know, we've -- to staff, we've expressed  
7 our intention to serve as a resource as they move forward  
8 in this process. We also want to do that -- you know,  
9 extend that invitation to the Panelists. And we  
10 definitely appreciated staff's willingness. They've taken  
11 a lot of our calls and answered a lot of our questions,  
12 particularly Sara Hoover, who is not here today and Dr.  
13 Krowech.

14           So with that, I just want to thank you. And I  
15 can attempt to answer any questions, but as I offered  
16 before, you know, maybe it's a better place to have a  
17 follow-up expert come out.

18           Thank you.

19           CHAIRPERSON LUDERER: Thank you very much.

20           Dr. Wilson, do you have a question?

21           PANEL MEMBER WILSON: I really appreciate your  
22 comments and appreciate you coming today. And we  
23 certainly think -- I'm speaking -- if I could speak on  
24 behalf of the Panel, appreciate, you know, a proactive  
25 industry association stepping up and sort of working with

1 these issues.

2           And my question is on the safety side that the  
3 Association has taken some kind of action on about 174  
4 ingredients, if those -- if your experience has been that  
5 those ingredients and the actions that have been taken on  
6 those have been primarily for acute effects, sort of the  
7 dermal irritation or eye irritation, and maybe, you know,  
8 dermal sensitivity, where someone would get a rash or  
9 something from an ingredient? That's the first part of  
10 the question.

11           And then the second part is, is the Association  
12 equipped or sort of -- should I say -- what's the word?  
13 It's sort of willing and able to look at these more subtle  
14 chronic effects that we're discussing here on the Panel  
15 around hormone signaling and endocrine disruptive kinds  
16 of -- or you know, endocrine activity that's less obvious  
17 in terms of a health effect, but is nevertheless of public  
18 health concern?

19           MS. QUINONEZ: Sure. Well, just to take your  
20 second question first, because I don't think I have a good  
21 answer for that, but I would definitely like to take that  
22 back to the research -- RIFM, the research arm. I know  
23 that they are constantly reviewing their ingredients, but  
24 I don't know to what level that they're specifically  
25 looking at.

1           As far as kind of the use restrictions, I think  
2 maybe what you're asking is they can vary from being very  
3 prescriptive to, as you mentioned, if it's a dermal  
4 exposure problem, restricting the use of those fragrance  
5 material in, say, a body lotion, because we know that's  
6 going to be rubbed on the skin or on a lipstick, because  
7 it might be, you know, ingested.

8           So they do -- can range from an all out ban to a  
9 very specific use restriction that also includes in, you  
10 know, what levels, what amounts in a formulation.

11           PANEL MEMBER WILSON: May I follow up?

12           But is the Association looking at these sort of  
13 more subtle longer term health concerns, as far as you  
14 know?

15           MS. QUINONEZ: As far as I know, I do not, but I  
16 will definitely get back to you on that.

17           DR. WILSON: Okay. Thank you very much again.

18           CHAIRPERSON LUDERER: Dr. Bradman has a question  
19 as well.

20           PANEL MEMBER BRADMAN: I have a question and a  
21 couple comments. Again, I want to underscore what Dr.  
22 Wilson said of just the appreciation of your taking the  
23 time to come here and the proactive kind of involvement of  
24 the industry.

25           Some of my concerns about these compounds would

1 be similar to other things used in personal care products,  
2 like phthalates and BPA, where there's a very clear  
3 pathway between use of these materials and exposures in  
4 people, including, you know, adults, teenagers, and very  
5 young children.

6           Has the work done by your group also looked at,  
7 you know, environmental fate? We heard a lot about  
8 contamination related to these materials in -- potentially  
9 in effluent, in other environments. We've also heard that  
10 they're in house dust. We know, for example, from lead  
11 that when there's something in house dust, it's guaranteed  
12 to get into very young children. So I'm just curious  
13 about what other pathways have been looked at and  
14 considered by your organization.

15           MS. QUINONEZ: Sure. I know specifically that  
16 wastewater effluent has definitely been studied  
17 extensively. I do not know about dust or other sort of  
18 environmental factors, but I'd be happy to look into that  
19 as well, and just get a better sense of kind of across the  
20 board what they're looking at, but wastewater absolutely.

21           PANEL MEMBER BRADMAN: Okay. Thank you. And  
22 also underscore that this group and this Program is not a  
23 Risk Assessment Program. You know, it's a Biomonitoring  
24 Program. And the risk side of it kind of is another  
25 arena. And really, I think the interest here is trying to

1 understand what exposures are and what the trends are.

2 MS. QUINONEZ: Yes.

3 CHAIRPERSON LUDERER: Dr. Quintana.

4 PANEL MEMBER QUINTANA: Hi. Thank you for your  
5 willingness to come here and presenting your information.  
6 I had a question. Do you happen to know about the  
7 manufacturing facilities? It sounds like your  
8 manufacturing is very proactive as well. And I'm  
9 wondering if you know if they have any internal biological  
10 monitoring data on workers or internal standards on the  
11 workers that they use internally in those factories.

12 MS. QUINONEZ: I do not know, but I can find out.  
13 I mean, I know the Association does represent the  
14 manufacturers, and they do also look at worker exposure.  
15 That is part of their assessment, and restrictions can  
16 deal with in the manufacturing process, as well as the  
17 end, like consumer product use.

18 PANEL MEMBER QUINTANA: Thank you.

19 CHAIRPERSON LUDERER: Dr. Quint.

20 PANEL MEMBER QUINT: Yes. This is Julia Quint.  
21 I want to add my thanks for your coming and spending time  
22 with us to clarify some issues. Very much appreciate it.

23 The State -- the California State Water Resources  
24 Board is starting to -- is deciding to look at  
25 contamination of water by these chemicals. Do you know if

1 you are working with them at all? Is there any activity?

2 MS. QUINONEZ: No, not specifically on fragrance  
3 issues, but --

4 PANEL MEMBER QUINT: Because I guess some people  
5 are able to get it out of the effluent and others are not.  
6 So I was just wondering if you were working on that issue  
7 as a separate issue from just --

8 MS. QUINONEZ: No, I'm not, but thank you for  
9 letting me know. I'll definitely contact them.

10 PANEL MEMBER QUINT: Thanks.

11 CHAIRPERSON LUDERER: Okay. Yeah, thank you  
12 again very much. We really appreciate your coming to  
13 share information with us.

14 MS. QUINONEZ: My pleasure. Thank you.

15 CHAIRPERSON LUDERER: All right. Do we -- Dr.  
16 Wilson, you had earlier mentioned that -- now, let me just  
17 clarify, too, from Dr. Krowech that these are two separate  
18 classes that we're talking about designating. So the  
19 Panel could designate neither, both, or one or the other.

20 DR. KROWECH: Exactly.

21 CHAIRPERSON LUDERER: And so the two classes are  
22 the polycyclic musks. That's one class, and the other  
23 class is the tetramethyl acetyloctahydronaphthalenes.

24 So, Dr. Wilson.

25 PANEL MEMBER WILSON: I would like to recommend

1 and move that the Panel list synthetic polycyclic musks as  
2 designated chemicals of the California Biomonitoring  
3 Program.

4 CHAIRPERSON LUDERER: All right. So Dr. Wilson  
5 has proposed a motion that the Panel recommend that  
6 synthetic polycyclic musks be added to the designated  
7 chemicals list for the California Environmental  
8 Contaminant Biomonitoring Program. Do we have any  
9 seconds?

10 PANEL MEMBER FIEHN: I second that.

11 CHAIRPERSON LUDERER: All right. And then for  
12 the designation, we don't need to take a formal vote as I  
13 recall, or do we?

14 We do. Okay.

15 PANEL MEMBER WILSON: Chair, if I could amend  
16 that for one second. My apologies. I would -- I'd like  
17 to state it as synthetic polycyclic musks as a class, just  
18 to be clear. So if I could restate the motion.

19 CHAIRPERSON LUDERER: I mean, I think that  
20 that's -- it's clear.

21 PANEL MEMBER WILSON: Okay.

22 CHAIRPERSON LUDERER: Thank you. All right.  
23 We'll start with Dr. Quint.

24 PANEL MEMBER QUINT: Julia Quint, aye.

25 PANEL MEMBER WILSON: Mike Wilson, aye.

1 PANEL MEMBER BRADMAN: Asa Bradman, yes.

2 CHAIRPERSON LUDERER: Ulrike Luderer, aye.

3 PANEL MEMBER FIEHN: Oliver Fiehn, yes.

4 PANEL MEMBER KAVANAUGH-LYNCH: Mel

5 Kavanaugh-Lynch, yes.

6 PANEL MEMBER QUINTANA: Jenny Quintana, aye.

7 PANEL MEMBER MCKONE: Tom McKone, yes.

8 CHAIRPERSON LUDERER: All right. Unanimous  
9 opinion on the Panel for designation of synthetic  
10 polycyclic musks.

11 Do we have any Panel members that want to express  
12 opinions about designating the other class, the  
13 tetramethyl acetyloctahydronaphthalenes?

14 Dr. McKone.

15 PANEL MEMBER MCKONE: My only comment was I would  
16 make a motion, but I don't know if I could pronounce it.

17 (Laughter.)

18 PANEL MEMBER WILSON: Give it a shot, Tom.

19 (Laughter.)

20 PANEL MEMBER MCKONE: All right. So I would move  
21 that the tetramethyl acetyloctahydronaphthalenes be  
22 designated as a class. Sorry.

23 (Laughter.)

24 CHAIRPERSON LUDERER: All right. Dr. McKone has  
25 made a motion that the Panel recommends -- now I have to

1 say it again -- that the tetramethyl  
2 acetyloctahydronaphthalenes be added to the designated  
3 chemicals list for the California Environmental  
4 Contaminant Biomonitoring Program.

5 Do we have a second?

6 PANEL MEMBER QUINT: I second.

7 CHAIRPERSON LUDERER: Shall we start on this end  
8 this time?

9 PANEL MEMBER MCKONE: Thomas McKone, aye.

10 PANEL MEMBER QUINTANA: Jenny Quintana, aye.

11 PANEL MEMBER KAVANAUGH-LYNCH: Mel  
12 Kavanaugh-Lynch, aye.

13 PANEL MEMBER FIEHN: Oliver Fiehn, yes.

14 CHAIRPERSON LUDERER: Ulrike Luderer, aye.

15 PANEL MEMBER BRADMAN: Asa Bradman, yes.

16 PANEL MEMBER WILSON: Mike Wilson, aye.

17 PANEL MEMBER QUINT: Julia Quint, yes.

18 CHAIRPERSON LUDERER: All right. Another  
19 unanimous recommendations from the Panel. So we have next  
20 on the schedule is a break, which was 15 minutes. I think  
21 we'll keep it to 15 minutes, so we'll come back at a  
22 quarter of. And please remember, to the Panel members,  
23 that these microphones may still be on after -- during the  
24 break.

25 DR. KROWECH: And the video.

1 (Off record: 2:28 PM)

2 (Thereupon a recess was taken.)

3 (On record: 2:46 PM)

4 CHAIRPERSON LUDERER: All right. If Panel  
5 members can make their way back, I'd like to call the  
6 meeting back to order.

7 All right. I'd like to welcome everyone back  
8 from the break, and call the meeting back to order. And  
9 the next agenda item is going to be a presentation by one  
10 of our newest Panel members, Dr. Oliver Fiehn, who is a  
11 professor and director of the National Institutes of  
12 Health West Coast Metabolomics Center at UC Davis. And  
13 he's going to present, "Identifying Novel Compounds in  
14 Untargeted Metabolomic Screens".

15 Dr. Fiehn.

16 (Thereupon an overhead presentation was  
17 presented as follows.)

18 PANEL MEMBER FIEHN: Thank you for asking me to  
19 present some concepts of metabolomics. How it's  
20 approached, what pitfalls there are, and how we can then  
21 identify interesting compounds that popped up as being  
22 important in one or the other ways statistically  
23 significant, or indicating some health effects, so that is  
24 the goal here in the next 30 minutes today.

25 So UC Davis has been designated as one of the six

1 NIH funded metabolomic centers in the United States, and  
2 the only one west of the Mississippi. So that gives us a  
3 lot of responsibility and work.

4 There is also a national data repository for  
5 metabolomics data, not only for the six Metabolomics NIH  
6 Centers, but also for all other investigators who are NIH  
7 funded that is located in UC San Diego.

8 --o0o--

9 PANEL MEMBER FIEHN: So I'd like to start off by  
10 just giving a little idea about what metabolomics is  
11 actually meaning, apart from being a novel fancy word that  
12 people like to pop it into their grant proposals. So the  
13 idea was that we have 200 years of chemical analysis.  
14 This was historically always targeted analysis. People  
15 would designate a chemical that might be interesting, and  
16 then usually -- or one or a few compounds, and then these  
17 would be screened for, in different samples, different  
18 matrices with the idea of very high accuracy. And we have  
19 today seen several splendid examples how this is done.

20 The problem with that approach is, of course, is  
21 you only find what you seek for. You don't get a bigger  
22 scope of other chemicals that might be around. So with  
23 the advancements of computers in the 1990s, novel mass  
24 spectrometers came onto the market, novel software  
25 solutions came onto the market that could better be used

1 for screening all the small peaks that usually people  
2 would discard, and say they are not important in  
3 analytical chemistry profiles.

4           Based on the platform people would use, this is  
5 then called metabolite profiling or chemical profiling  
6 based on, you know, the properties of the method that was  
7 applied or can be applied.

8           And by logical extension, metabolomics is then  
9 the idea to go with very high scope, ideally all small  
10 molecules that are present in a certain sample.  
11 Metabolites could be endogenous metabolites, the compounds  
12 that are done by enzymatic conversions in a cell, but  
13 also, of course, exogenous metabolites from drugs to  
14 chemicals -- exposed chemicals, including foods. So  
15 metabolomics is, in a way, a larger chemical approach with  
16 a giant scope.

17           Now, the problem that is whatever method an  
18 analytical chemist chooses, you will introduce a bias. So  
19 for some compound classes, it will be better suited than  
20 for others. And all the universe of small molecules have  
21 many, many different physical chemical properties, some of  
22 them we have discussed like lipophilicity and  
23 hydrophilicity, and volatility. We had it with the musks  
24 odors just a half hour ago. Size. Of course, some can be  
25 very, very large, others are very, very small.

1           In addition, if you want to do this, you not only  
2 need more than one platform, you also need chromatography,  
3 if you really want to distinguish isomers. If you now  
4 have 20,000 samples, and you have different platforms of  
5 200,000 samples, say, how do you do this?

6           And some people said then you need a very quick  
7 screening tool to classify the most drastically different  
8 samples using direct infusion mass spectrometry, or using  
9 NMR's spectroscopy, or infrared spectroscopy, then use  
10 classification tools multivariate statistics to say these  
11 samples are all similar or they're grouped. Let's look at  
12 these outliers, so that you basically first screen 200,000  
13 then you go in more detail and say 2,000 samples. So that  
14 is called metabolomic fingerprinting or metabolomic.

15           Okay. So that's the idea.

16                           --o0o--

17           PANEL MEMBER FIEHN: In Davis now, we have the  
18 NIH Metabolomics Center, where we, in one lab, in our lab  
19 in the Genome Center, we have 15 mass spectrometers. In  
20 six other labs, there's an additional 20 mass  
21 spectrometers, and five NMRs, including, for example, the  
22 NIEHS Superfund laboratory is headed by Bruce Hammock. So  
23 there is also a long history in Davis on small molecule  
24 analysis.

25           What you can see here is how we break up the

1 different parts of endogenous metabolites into, you know,  
2 brackets. And the numbers indicate the numbers of  
3 compounds that we typically identify in a given sample,  
4 for example, in blood plasma or in liver. Of course,  
5 depending, of course, on the actual numbers on what type  
6 of sample you look at.

7           So, for example, for primary small metabolites,  
8 we can detect up to a 500 small molecules, out of which we  
9 identify 200 in polar and neutral lipids. In blood  
10 plasma, for example, we easily detect something like 800  
11 to 900 different features, of which we can identify 350  
12 unique lipids or complex lipids and so on. So you can  
13 also look at volatile with SPME fibers or we use here  
14 twister absorption bars, where you can again like go to  
15 150 identified compounds.

16           So we break it up basically based on the physical  
17 properties of those compounds, and then look for the  
18 platform that can best address a large survey of a certain  
19 chemical class.

20           So take-home message from this is you cannot have  
21 one type of method, one type of platform, and hope to get  
22 the metabolome, rather it's a combination of methods.

23                           --o0o--

24           PANEL MEMBER FIEHN: Now, pitfalls. Many people  
25 try -- and this is a public data set that I downloaded

1 from the data center in San Diego. So this is something  
2 that people would have, so you have 800, or whatever,  
3 features. And then you have these sample sets where there  
4 is lots of missing values. As you can see here on the  
5 right-hand side -- and I cannot see this here. I don't  
6 have a pointer. So you go to the right-hand side of that  
7 image, and you see some numbers. And these are the  
8 intensities of chemicals, but you see often that there's  
9 missing values or almost missing rows.

10           And now for statistics. That places a huge  
11 problem because your power analysis goes down. You don't  
12 know why that chemical wasn't detected. Was it not  
13 detected because it wasn't there, or was it not detected  
14 because some parameter settings were wrong?

15           So this is the problem of using software and  
16 using the adequate software, and also adequately using the  
17 correct software. So that is not easy to do, even if you  
18 have nice instrumentation.

19   --o0o--

20           PANEL MEMBER FIEHN: So there's another one. If  
21 you do very large studies, like we do at UC Davis, you  
22 come up with thousands and thousands and thousands of  
23 samples. So these are actual data from our lab, and  
24 usually I, of course, don't show this, but just to see --  
25 get, you know, an idea of pitfalls you run into. This is

1 a study also funded by the NIH from the TEDDY consortium,  
2 The Environmental Determinants of Type 1 Diabetes in the  
3 kids, TEDDY, where we look over 12,000 samples. It's a  
4 multi-national consortium, Finland, Germany, UK and the  
5 U.S.

6           And you see there is, of course, drifts on the  
7 left-hand side. You see there's always some drifts and  
8 scatters of the total intensities of all the identified  
9 compounds. These are lipids here. And you then define,  
10 you know, upper and lower intervention limits. And you  
11 define the derivations, and you keep it in a certain  
12 order. And you say well that's the order. That's the  
13 magnitude of raw data intensities that we allow. But on  
14 the middle panel, you see that there was a 10-fold drift  
15 between one type of a batch to the others. And if you  
16 only rely on ratios or on internal markers and you don't  
17 look at the absolute intensities, you would not see that.

18           So that means if you want to do this in an  
19 untargeted manner, you have to control also your absolute  
20 machine sensitivity. And, in this case, we ran those  
21 failed batch again.

22           And you also see then some kind of temporal drift  
23 on the right-hand side for another platform, where you see  
24 some kind of, you know, upwards or downwards trends.  
25 These can be corrected as long as they are not outliers

1 like the three samples that you see there. So it's  
2 important to have these kinds of quality control measures  
3 when you do metabolomics.

4 --o0o--

5 PANEL MEMBER FIEHN: Then there -- an LC-MS, and  
6 people are very fond of using LC-MS, but the point is one  
7 compound will always come up with different ions at the  
8 same time. And this is an example for the exact same  
9 lipid that we now screened over many, many different runs.  
10 And use on the left-hand side, you see different adduct  
11 ions for these lipids. One is an ammonium adduct and the  
12 other one is a sodium adduct. On the left panel, you see  
13 it's almost one to one. And on the right-hand panel, you  
14 see that the ratio of sodiated to ammoniated species is  
15 more like one to three.

16 So you cannot just rely on one adduct, but you  
17 have to combine those to get a clear representation of the  
18 total abundance of a specific lipid. You can't just --  
19 you know, and the same is true for other chemicals as  
20 well. You cannot just rely on the RT and MZ values, or  
21 features as they are called, in these untargeted  
22 metabolomics.

23 --o0o--

24 PANEL MEMBER FIEHN: So pitfall 2 is, of course,  
25 data processing. So you need to, you know, define what a

1 true peak is. And these are three samples here from  
2 women's breast tissues. The green and the blue were women  
3 who had breast cancer tumors, and the red one was  
4 non-malignant tissue.

5           And you see now that there are certain peaks.  
6 Two of them were identified, two of them are unidentified.  
7 They get the number. And they, of course, then, after  
8 statistics, good targets for compound identification. But  
9 there are more peaks, if you -- you know, this is just one  
10 extracted ion chromatogram.

11           You see there are more peaks and you have to  
12 define what is your threshold at which you define a peak  
13 to be integrated into your analysis strategy, into your  
14 statistics. And the point is, you know, specifically for  
15 exposure, they may not be all the time there. We have  
16 just had exposure of 100 percent. Well, is 50 percent  
17 exposure not relevant? Is it only relevant if exposure is  
18 in high abundant peak or is it, you know, maybe a very  
19 potent and very dangerous compound at low abundance? So  
20 these are all decisions you have to make, and that you  
21 have to use software appropriately.

22           Now, on the right-hand side, we now see the  
23 reality. There is many -- there are many, many, many,  
24 many peaks found and many of them are overlapping, so this  
25 is unavoidable, because we can't just purify a compound

1 like we do in targeted analysis. And then you have to  
2 find those peaks and they have to be unique, and they have  
3 to be able -- we have to be able to selectively screen for  
4 them also in the next 1,000 samples we run, and we have to  
5 have criteria which define which peaks to carry forward,  
6 and which peaks to exclude, because they're too low,  
7 abundance too noisy, or not selective enough to give us  
8 adequate intensity issues.

9 --o0o--

10 PANEL MEMBER FIEHN: Good. So next pitfall.  
11 Mass spectrometrists are very fond of their mass  
12 spectrometers. On the left-hand side, you see a perfectly  
13 nice peak eluting after an HPLC with an accurate mass.  
14 And we then, on the right panel, you see a so-called  
15 tandem mass spectrometry that can be used for  
16 identification.

17 But if you look more closely into the tandem MS,  
18 you'll see these are actually two different triglyceride  
19 lipids would have the exact same elemental formula, but  
20 different position of the bonds. So they appeared  
21 together at the HPLC. They had the exact same mass. And  
22 if you have the exact same mass, no mass spectrometer can  
23 separate them, but you, in principle can separate them on  
24 the MS/MS level, but you have to be looking very closely.

25 --o0o--

1           PANEL MEMBER FIEHN: Another example. Here is  
2 again another blood plasma lipid that appears as a signal  
3 peak using a specific instrumentation here, is the Agilent  
4 6530 at 10,000 resolving power. If we use now higher  
5 resolving power mass spectrometers, we see that this is  
6 not one peak, but in fact, two different lipids that have  
7 different elemental compositions, but slight -- but only  
8 slightly different masses. The mass difference here was  
9 only 40 millidaltons.

10           And you really need this high resolving power  
11 mass spectrometers to actually discern those. And again,  
12 you need then good software to define that there are  
13 actually two peaks. So resolution, and the type of  
14 instrument, really matters.

15                           --o0o--

16           PANEL MEMBER FIEHN: The third pitfall is that  
17 people who don't do it very often don't know how to do the  
18 statistics right. And again, many metabolomicists who are  
19 starting are very fond of the use of multivariate  
20 statistics. On the left-hand side, you see so-called  
21 unsupervised principal component analysis. You see four  
22 groups, a green, a yellow, blue, and red group.

23           And there's quite a significant, you know,  
24 overlap of the yellow group with all the other three  
25 groups. So with PLS-DA, which is a supervised statistics

1 method, you can easily now say, well, I can separate the  
2 yellow group from all the other three groups. It's all  
3 getting much clearer, and then you can define certain  
4 markers that are different in the yellow group to the  
5 blue, red, and green group.

6           And this has been published many times. The  
7 problem is it's overfitting. If you have many, many  
8 variables, there will be some variables that appear to be  
9 different in the yellow group to the -- compared to the  
10 others, but they are not true. It's really the wrong  
11 method if you don't use independent validation control  
12 samples. So this is called data overfitting. And  
13 especially if your study is underpowered, you run into  
14 this problem in multivariate statistics.

15                           --o0o--

16           PANEL MEMBER FIEHN: So now let's come to the  
17 next 14 minutes on -- let's assume we have done everything  
18 right. We have perfect data sets. All the data  
19 processing and all the statistics is good. Now, we know  
20 which peaks we want to look at. Now, we want to identify  
21 them.

22           The best way to do it is if you use structure  
23 de-replication it's called. So basically use mass  
24 spectral databases. There are a couple of public  
25 databases around, like the NIST 12 library has been

1 largely expanded, the Wiley Registry. These are for --  
2 mostly for GC-MS data. The NIST now also has about 12,000  
3 LC-MS/MS spectra in it. But overall, these are, for  
4 example, not having retention time information.

5           So without retention time or retention index  
6 information, you can't do a lot. For that reason, we have  
7 developed quite a number of libraries where we also  
8 standardized the recording of the mass spectra together  
9 with the recording of the relative retention time.

10           In our databases, we have over 150 million  
11 experimental mass spectra. We have done it on volatiles,  
12 on primary metabolites, and most recently we published  
13 papers on complex lipids, where we have over 200,000 MS/MS  
14 spectra for lipids. And the idea there was -- and we now  
15 go to the different kinds of compound classes including  
16 acylcarnitines, acyl-CoAs, flavonoids, and so on.

17           The idea there is you cannot possibly buy all  
18 compounds. You have to predict how mass spectra will look  
19 like, otherwise we will never look at the overall  
20 universe.

21   --o0o--

22           PANEL MEMBER FIEHN: So the overall universe at  
23 PubChem, which is the Congress-sponsored public repository  
24 of all chemicals that are known to human kind accounts for  
25 about 40 million compounds.

1           Now, if you look at the compound spectral  
2 libraries that are available in the public for LC-MS/MS  
3 spectra, there are less than 40,000. So for every  
4 compound that is known, in terms of chemical structure,  
5 you know, for every 1,000 compounds that are known,  
6 there's only one spectrum. That's bad. So that's the  
7 reason why, if you buy a mass spectrometer, most compounds  
8 you will see will be unknowns.

9           In GC-MS, it's a little better. People have done  
10 60 years ago standardization efforts, so accumulated now  
11 over 250,000, maybe 300,000, electron impact ionization  
12 spectra, which gives much better confidence in terms of  
13 identification. That's why GC-MS is much easier for  
14 starters.

15   --o0o--

16           PANEL MEMBER FIEHN: Okay. So, now let's assume  
17 we get -- the important compounds you have identified are  
18 not known. And we have published here three papers, and a  
19 couple more actually, that we give there just as a  
20 literature reference if you want to know more about it.  
21 Then you have to follow a certain workflow, assuming that  
22 this is a compound that may be known to human kind. It  
23 may be part of the 40 million compounds. You just have to  
24 find the best candidate fitting it.

25           Okay. So the first thing you to have do is you

1 have to determine elemental formula. And you can only do  
2 this if you have an accurate mass mass spectrometer that I  
3 showed before. Then with that accurate mass formula, you  
4 have to go into large databases like PubChem, and retrieve  
5 all compound structures. Usually, per formula you get  
6 five net different structures on average.

7           Then you have to look at those structures and say  
8 what is the most likely structure? You have to have  
9 filter -- different types of filter, for example, on  
10 substructure constraints, but also on prediction where it  
11 would elute in the chromatography to say these are -- from  
12 the 500 possible candidates, these are the 10 most likely  
13 ones, and then you can look at the MS/MS similarity.

14           So this was all not easy. So what you see now on  
15 the right-hand side is the computation generation of all  
16 chemically possible structures from up to 300 daltons.  
17 And you see that, you know, there are many, many, many,  
18 many structures and elemental formula possible. So not --  
19 even determining the one and only correct elemental  
20 formula is not easy in an untargeted way.

21           So not only that, but also people exaggerate the  
22 accuracy of current mass spectrometers. So the vendors  
23 would say that our -- their mass spectrometer would have  
24 an accuracy of 1 ppm. This is, on average, true, but they  
25 forget the deviation. And since it's an unknown, you

1 don't know the true value. So you have to look for the  
2 error.

3           And, you know, classically if you want to have 95  
4 percent correct ones, you have to use something like a two  
5 sigma window, which is, on most instruments, something  
6 like 3 ppm. If you then look at all the compounds in  
7 PubChem, all the elemental formula in PubChem, you see  
8 that even at 200 daltons, you will already have two  
9 possible elemental formula. And at 900 daltons, you would  
10 have 1,000 different formula that all would fit your  
11 experimental data. So, for that reason, mass accuracy  
12 alone is not enough to give you a unique elemental  
13 formula.

14                           --o0o--

15           PANEL MEMBER FIEHN: So instead, you have to use  
16 isotope abundance. So all the different elements have  
17 different isotope abundances as we know. So, for example,  
18 for carbon, there's a 13 carbon isotope that has roughly  
19 1.1 percent abundance. And you can accumulate this  
20 information and then use it to constrain your elemental  
21 prediction. And if you that, you see on the right-hand  
22 side, that the same table that we have seen now -- before  
23 at 3 ppm mass accuracy is now much better to the level of,  
24 let's say, 600 dalton, where you have then only four  
25 different formula.

1           Now, what we then did -- oh, this is just an  
2 example. So this is an example where we just looked at  
3 the structure that is given there, and looked at for all  
4 other elemental -- other structures in PubChem that would  
5 have the same accurate mass. And now this little red  
6 window would define those that have the same isotope ratio  
7 given on the left-hand side again with some kind of  
8 instrument error assuming.

9                               --o0o--

10           PANEL MEMBER FIEHN: So you see just having the  
11 isotope error included is a major constraint value. You  
12 see this also on the structure below, that is about 700  
13 dalton, and again the same idea. All the dots given there  
14 would have all the same accurate mass, given the 3 ppm  
15 mass error, and the little red window would have now those  
16 that have this isotope pattern that includes sulfur  
17 compounds.

18           So if you are able to detect those isotope  
19 patterns, you already know that your compound must have a  
20 sulfur atom in it. And that massively restricts your  
21 search for the correct elemental formula.

22           If you do this on a broad scale, and these are  
23 100,000 -- actually, sorry, 50,000 different compounds,  
24 including peptides, but also drugs, and pesticides  
25 downloaded from PubChem. If you then just plug now the

1 isotope ratio for the first isotope on the X axis and the  
2 second isotope on the Y axis, what you see there is in an  
3 untargeted screen, it's very easy to see if your compound  
4 of interest has bromine or chlorine elements in it.

5           This is very -- has very, very clear isotope  
6 patterns, and therefore, the ideal candidates for  
7 untargeted chemical screens in environmental exposure  
8 studies. Whereas, if you go down to the other elements,  
9 it's much harder to even detect the correct compounds.  
10 Good.

11                           --o0o--

12           PANEL MEMBER FIEHN: So let's now say we have  
13 derived the correct elemental formula. We go to the next  
14 level. We retrieve the structures from PubChem.

15           Oh, first of all, we actually have more rules I  
16 forgot to say. This graph here gives, in red, if you  
17 don't apply rules and how many different elemental  
18 formula -- this is on the Y axis, how many different  
19 formulas you would get if you don't apply any rules, and  
20 in green, if you apply the so-called seven golden rules  
21 that we published. The software is freely available from  
22 our website. Some mass spectrometry vendors have included  
23 that into their software.

24           If you now retrieve the structures from PubChem,  
25 the question is how often do we get the correct hit as a

1 top hit, because that's what you want to have. You know,  
2 even if you have four elemental formulas, you want the  
3 correct one to be in the top it. And if we don't apply  
4 any database, just ask for anything that is chemically  
5 possible, that's a red line, and depending on the mass  
6 that you look at on the X axis, it can still be something  
7 like 80 to 90 percent correct as a top hit, but 10  
8 percent, you know, being one of the lower hits.

9           If you restrict now your compounds to elemental  
10 formulas to only those that actually are known to  
11 humankind in PubChem, you get at least 90 percent  
12 accuracy. And if you do have a target library, I am only  
13 interested in pesticides, or I'm only interested in  
14 drugs -- these are very small target libraries -- then you  
15 get a much better hit rate, in terms of that your unknown  
16 elemental composition is actually present there, but that,  
17 of course, you have a targeted question.

18                   --o0o--

19           DR. FIEHN: So the next thing is let's assume now  
20 that your unknown -- you have the elemental composition,  
21 and now you have several structures downloaded that could  
22 be possible. You need to know about substructure  
23 constraints.

24           If you use GC-MS, you do derivatizations to  
25 increase volatility, and you can also do derivatizations

1 on different type structures like carbonyl atoms. That's  
2 what you see here. So with derivatization, you can  
3 determine the number of acidic protons your unknown has  
4 and you can determine the number of carbonyl groups you  
5 have.

6           And, in principle, if you use other types of  
7 chemistry, you can determine other types substructures as  
8 well. In MS/MS or LC-MS/MS, you could do similar  
9 strategies, for example, knowing about neutrolosis, that a  
10 certain neutral loss or a certain fragment clearly defines  
11 a certain head group, for example, choline and lipids --  
12 and complex lipids.

13           So these are different types of substructure  
14 constraints. The more the better to say of the 500  
15 structures I can sort out 400 that don't have the correct  
16 number of substructures like acidic protons and others.

17           You then need to -- maybe you have still 100  
18 compounds left over or structures left overall, all the  
19 same elemental formula, all the correct number of  
20 substructures, and types of substructures. But some of  
21 them may elute much earlier and some of them may elute  
22 much later. So you can do retention time prediction  
23 software.

24           For GC-MS, it's done by NIST. We have improved  
25 that for derivatized compounds that you can see there and

1 it's all published. But, of course, there's a deviation.  
2 You also see that. So that's something where more  
3 research needs to go in.

4 And for LC-MS, it very much depends on the  
5 chromatography you use, on the solvents you use. Much  
6 harder to do an LC-MS, these predictions. But, of course,  
7 there are some papers already out.

8 --o0o--

9 PANEL MEMBER FIEHN: Lastly, then you can do the  
10 mass spectrometry prediction. You see there, for example,  
11 an experimental spectrum with accurate masses. And then  
12 you see the prediction of software. This is Mass Frontier  
13 here. But there are other solutions, of course, where in  
14 different structures, isomers, what you put in. And what  
15 you see there in green is you would have the same nominal  
16 masses so 116, 132, and 141, but all of them would have  
17 been different elemental compositions, different accurate  
18 masses, so they would not fit the experimental data. Only  
19 the right structure would fit all the experimental data.  
20 So you can use mass spectrometry prediction tools these  
21 days to further filter or rank your most likely isomer.  
22 So it's not impossible.

23 --o0o--

24 PANEL MEMBER FIEHN: Giving you one idea how it  
25 works in practice, here is a compound that we found in a

1 combination of four studies that were all involved in type  
2 2 diabetes and muscle mitochondria oxidation. This  
3 unknown would have accurate masses. We put it in the  
4 GC/Q-TOF first in electron ionization to see if we find  
5 the same compound that we had found in the screening that  
6 was done by low resolution. And then we do two different  
7 types soft ionizations. We determine the elemental  
8 composition, and then we go the workflow that I outlined  
9 to you.

10           And then we come up eventually with this mass  
11 spectrometry prediction and annotation of all the  
12 different ions, and do they fit the fragmentation pathways  
13 that are predicted by the software. And then you come up  
14 with a possible candidate. In this case, it was  
15 2-keto-3-deoxygluconate.

16                           --o0o--

17           PANEL MEMBER FIEHN: Then you have to synthesize  
18 this or you have to leave it as an annotated compound and  
19 go from there.

20           So there is -- this is a painful and very manual  
21 project. You cannot do it for thousands of compounds, at  
22 least not today. In 10 years, you may have better  
23 software, but this is where pretty much the state of the  
24 art is.

25                           --o0o--

1           PANEL MEMBER FIEHN: So conclusions. Well, we  
2 have larger Metabolomics Center here in California that is  
3 reaching out to investigators. By the way, we have pilot  
4 projects, so anyone who is eligible to submit an NIH grant  
5 can also submit grants to us. This year we have funded 12  
6 pilot projects up to \$50,000 of worth in services. So  
7 this is definitely -- we have also one that looks at, for  
8 example, DDT exposure and the expected -- so there are  
9 environmental studies already done or carried on in our  
10 center, so we can give grants out in a way.

11           We have -- I've shown to you that there's  
12 metabolomic data. It's not easy to get high quality data,  
13 but it's possible. Different pitfalls have to be avoided,  
14 but they can be avoided. And by the way, we do course too  
15 for training. And then compound identification has spent  
16 quite a bit, so the first and best approach is -- you  
17 know, it is part of libraries, of existing libraries. And  
18 then you'll -- you know, you can fit your unknown mass  
19 spectra to the mass spectra you found.

20           And the much harder way is to identify your  
21 unknowns is by this workflow, going from elemental  
22 compositions to substructures and retentions and then to  
23 the mass spec interpretation.

24                           --o0o--

25           PANEL MEMBER FIEHN: So I'd like to thank my lab,

1 of course, Gert Wohlgemuth and Tobias Kind are the ones  
2 who did cheminformatics. Mass spectrometry, several other  
3 people. And I'd like to thank all the funding agencies,  
4 and especially the NIH. Thank you for your attention.

5 (Applause.)

6 PANEL MEMBER FIEHN: Thirty-two minutes.

7 CHAIRPERSON LUDERER: Thank you very much for  
8 that very interesting presentation and overview of a  
9 complex topic.

10 Do we have any questions?

11 Dr. Quintana.

12 PANEL MEMBER QUINTANA: I had a question that's  
13 not about what you do with the sample once you get it, but  
14 it has to do with the samples themselves. And I've  
15 heard -- and I don't do metabolomics, but I've heard that  
16 it can be quite sensitive to sample storage and  
17 preparation, and so thinking about potentially using it  
18 for biological monitoring. In some case, we've heard  
19 about analysis of previously archived samples. Do you  
20 have any comments on how they have to be collected or  
21 treated the same or even frozen and thawed the same number  
22 of times and that kind of thing?

23 PANEL MEMBER FIEHN: Yeah. This comes back to  
24 the topic that I've showed as a second slide. For some  
25 compounds, any -- whatever method you use, there will be a

1 bias. And for some compounds, it will be okay and for  
2 other ones it will not be okay. So you will never have  
3 one single method that will be okay for everything. So  
4 you can only be as gentle as possible, and as  
5 comprehensive as possible. And then you look really at  
6 the -- you had to compare different methods.

7           And that's, for example, what we've done on blood  
8 plasma. We looked at different blood withdrawal  
9 strategies. And there's, of course, lots of papers also  
10 out asking the question which sample gives us the most  
11 reproducible most robust and most comprehensive view on  
12 metabolome. And surprisingly, this was serum, if the  
13 serum is done in always the same manner. So always the  
14 same type -- time of coagulation, and then the same time  
15 of freezing after you let the blood clotting occur.

16           So most clinics, however, don't trust the serum.  
17 They'd rather go for plasma and do the centrifugation.  
18 And again, you shouldn't have the centrifugation speed too  
19 high, but then there's different anti-coagulant that all  
20 would have a different effect on the metabolome.

21           So we tested heparin plasma to EDTA plasma to  
22 citrate plasma. And this is actually heparin plasma seems  
23 to be the best in terms of using it for metabolomics  
24 studies. Now, some studies and repositories have decided  
25 on their protocol 20 years ago and biobanked them since

1 then. And you can still use those. It just means that  
2 life gets harder.

3           For example, for the TEDDY study, we used citrate  
4 plasma. Obviously, there's a ton of citrate, and you  
5 cannot determine citrate then anymore, because no -- it's  
6 part of the metabolome, right, citric acid, TCA cycle. So  
7 that is, of course, something you cannot change often.  
8 But if you go for prospective studies, of course, then you  
9 should think about it.

10           And then again, for some compounds, if you  
11 already have a hypothesis in mind like oxidized lipids or  
12 so, they may need to be treated in a different way and we  
13 have specialists, you know, advising on those different  
14 types of chemical classes.

15           CHAIRPERSON LUDERER: Dr. Bradman.

16           PANEL MEMBER BRADMAN: That was a very  
17 interesting conversation -- presentation, I should say.  
18 I'm sure some of it went over my head, and suspect that --

19           PANEL MEMBER FIEHN: Yeah, it was very mass  
20 heavy.

21           PANEL MEMBER BRADMAN: -- others are in the same  
22 boat.

23           But that was very interesting, and I just have a  
24 few questions. Three questions.

25           One -- and I'm going to ask them all, and then

1 you can decide which or how to answer.

2           One, it seemed like a lot of the metabolomic  
3 analyses are focused on examining biological processes.  
4 And how would we differentiate essentially a discovery  
5 process between looking at biological processes versus  
6 looking at exposure?

7           The next question -- and my note to myself is  
8 biomarkers of what? And given their emphasis here is on  
9 exposure, you know, how can we target that?

10           You also talked about using spectral libraries.  
11 It sounds like both like the NIST and other libraries, and  
12 then also it sounds like in your lab you've developed a  
13 whole set of, and others have, that are potentially  
14 usable.

15           How -- and then in particular that one slide, it  
16 seemed that if you target your metabolomic analyses on  
17 specific classes or subgroups, that you greatly improve  
18 accuracy. So does that suggest we should perhaps, as a  
19 first step, or maybe one first step might be to, for  
20 example, maybe use archived materials in some of the  
21 studies that have been done for the Biomonitoring Program  
22 and do targeted, you know, library analyses to see if we  
23 can identify peaks that warrant further analyses?

24           The other question, this is kind of for  
25 clarification. You talked about, you know, one part per

1 million as a possible threshold. And I wasn't clear there  
2 if we were talking about the concentration in a sample.  
3 And if it's not, should we perhaps identify a threshold  
4 that could then be a cut point, where we would look at  
5 peaks with an area under the curve that would exceed say  
6 one nanogram or one picogram. It seems like when we look  
7 at the lowest concentration of things, we generally study  
8 environmental studies. Right now, it's at the picogram  
9 per gram level.

10           And maybe we might start as having a natural  
11 cutoff and looking at things higher than that, and then  
12 see if we can identify environmental chemicals with  
13 exposures, you know, at that range or above.

14           PANEL MEMBER FIEHN: Yes. So I'll start with the  
15 last question. I'm sorry, I confused many people. Of  
16 course, the value of ppm in mass spectrometry is a mass  
17 accuracy. Parts per million is the mass accuracy, not the  
18 intensity or concentration. You know, so, yeah, these  
19 terms are used in different contexts in different manner.

20           The mass specs themselves have about -- these  
21 type of TOFs or so, they have about four orders of dynamic  
22 range. So if you don't want to oversaturate your mass  
23 spec, if you think that your very abundant compounds might  
24 also be interesting, that limits you to a 10,000-fold  
25 range.

1           However, if you say, well, I'm only looking at  
2 exposure, and that this will be the low abundant, you can  
3 voluntarily say I don't care for the big peaks. I only  
4 care for the small ones. So that is all about your -- you  
5 know, how much do you inject and do you allow your  
6 detector to be sometimes saturated for certain ions. And  
7 we say I don't care for these complex lipids, because they  
8 don't -- they are not exposure. They are endogenous  
9 metabolites.

10           So that was your -- I guess, your second  
11 question.

12           PANEL MEMBER BRADMAN: I think the question is  
13 kind of like where do we start? Where do we start?

14           PANEL MEMBER FIEHN: So the second question was  
15 that where do we start?

16           And I tried to make clear that I think for  
17 compounds that have chlorine or bromine atoms in it, these  
18 are the -- should be the easiest to find if there is  
19 exposure of non-classic chlorine and bromine metabolites,  
20 adducts, and so on, based -- if you have a mass  
21 spectrometer that actually can nicely discern isotopes and  
22 get good isotope accuracy. Not all mass specs do that, by  
23 the way.

24           So the next one I said sulfur compounds. Sulfurs  
25 are the next best classes of compounds that can be

1 discriminated by isotopes. Now, if you think about musks  
2 that we just discussed, that was no sulfur, no chlorine,  
3 no bromine. So you wouldn't detect those as exposure  
4 compounds in this manner.

5           So you -- somehow, once we have not designated  
6 chemicals, you know -- and, of course, the priority  
7 pollutants always were those with chlorine and bromine,  
8 but they're kind of fading out, yeah? So the novel  
9 compounds are all phosphorus, nitrogen. They look like  
10 endogenous metabolites that don't have any very specific  
11 markers anymore. So it gets harder to find those.

12           So what you can do, of course, is also still use  
13 then classic environmental toxicology approaches by saying  
14 well, we are interested. We designate chemicals, based on  
15 for example, bioaccumulation properties. So let's look at  
16 the lipid fraction, and maybe then discard the  
17 triglycerides to clean up the matrix a little bit from the  
18 very, very, very, very non-polar ones, yeah?

19           So you can do a little bit of sample clean-up,  
20 instead of saying I care for everything. So there are  
21 these classic strategies that can be used. Wherever, of  
22 course, you use them, some bias. We say like very  
23 lipophilic, we don't care, and hydrophilic we also don't  
24 care. You know, so that is, of course, always an  
25 intentional strategy you would then follow after

1 discussion or deliberation, because you can't do  
2 everything, I guess.

3           The first question was, help me again?

4           PANEL MEMBER BRADMAN: The first question was  
5 about spectral libraries and using targeted libraries like  
6 pesticides targets and what are we measuring?

7           PANEL MEMBER FIEHN: Yeah. Okay. So endogenous  
8 -- yeah, you said -- no, the first question was biological  
9 metabolomics seems to be biologically oriented and what  
10 about exogenous drugs?

11           PANEL MEMBER BRADMAN: And how do we  
12 differentiate?

13           PANEL MEMBER FIEHN: How do we differentiate?

14           So first of all, of course, metabolomics was done  
15 in the realm of genomics. So it was all driven by biology  
16 and health and biochemical modules and pathways and so on  
17 So that's where the history comes from.

18           But, of course, we -- for example, my lab also  
19 look at drugs and drug exposure and drug efficacy and  
20 response of individuals to treatments. So, for example,  
21 in human lung tissue, we have found 37 different exogenous  
22 non-human compounds. So you can't see them by those  
23 libraries.

24           Usually -- well, it depends on the question.  
25 Usually, we ignore those, because they are not relevant to

1 understand biochemistry, unless you -- that -- unless it's  
2 exactly your question. What type of compounds do we find  
3 in which tissue or in which biological specimen?

4           So I was actually surprised to find so many drugs  
5 in the lung. Some of them were supposed to be there,  
6 cough medicine, for example, yea -- yeah -- which gives  
7 you the proof of confidence that, yes, I mean, the  
8 suspects that should be there are there.

9           These are done by libraries. These are the  
10 classic drugs and over-the-counter drugs, and the mass  
11 spectra are available. And the same for, of course, the  
12 pesticides, you know. The question is do we have all the  
13 spectra for all the household products, the musks or  
14 whatever? Do we have it all for the other designated  
15 chemicals?

16           Maybe that's something you want to find out.

17           So you know, can -- you know, do we have this  
18 list and do we then have hypothetical compounds -- and  
19 that's actually what my lab is doing as a research  
20 project. So assuming now that these are exposed, and we  
21 now metabolize those. We glucuronidate them. We  
22 hydroxylate them. We methylate them.

23           This can be done all computationally. So you can  
24 say let's do a hydroxyl on it. Which is the most  
25 susceptible area where you would have an epoxide hydroxyl,

1 or two of them, and how would then the mass spectrum look  
2 like? So you could have then these -- we call them  
3 virtual mass spectral libraries. But again, this is  
4 research. That's not done yet, or there's papers out,  
5 yeah, but it's not like as easy. And then you have to  
6 validate. But that's the idea of how to expand the --  
7 from a certain group, let's say, of 20 designated  
8 chemicals -- well, let's have the human enzymes work on  
9 them or chemical exposure oxidation work on it, so that we  
10 get these hypothetical structures, and then these  
11 hypothetical mass spectra.

12 So that is the next wave, so to say, of research  
13 that's actually carried out right now. There was a nice  
14 paper last week, not from us. So that's, I guess, the  
15 little bit of an answer to that how we go from known  
16 compounds to all chemicals.

17 PANEL MEMBER BRADMAN: I do have a follow-up, but  
18 I don't want to dominate the questions.

19 CHAIRPERSON LUDERER: Questions from other Panel  
20 members?

21 Ask your follow-up -- Dr. Alexeeff.

22 DIRECTOR ALEXEEFF: Yes. Thank you so much for  
23 the presentation. Mine is not going to be as -- I think  
24 Asa made as complicated a question as your complicated  
25 presentation.

1 (Laughter.)

2 DIRECTOR ALEXEEFF: And I don't know if my  
3 question is going to basically defeat the purpose of a  
4 time of flight spectrometer, but -- so your presentation  
5 was talking about sort of to me and the way I was  
6 interpreting it, although we're looking for unknowns, the  
7 more you can kind of decide what parameters of that  
8 unknown you're looking for, the better you'll have luck in  
9 terms of actually identifying compounds?

10 And since we have designated and priority lists  
11 of chemicals, does it make sense to kind of come up with a  
12 library of spectra for all those compounds that we've  
13 designated or that we've prioritized and to look for  
14 those? Is that -- does that make sense to do or is that  
15 defeating the purpose of this type of analysis?

16 PANEL MEMBER FIEHN: No, that's fine. And that's  
17 what I also tried to say in the second slide where I said  
18 the overview of the idea. The idea was that you might  
19 have targeted questions. Is the so-in-so compound in this  
20 tissue? And it's a targeted question and it can be nicely  
21 done in a targeted analysis manner.

22 And then you can -- and I've seen it today pool  
23 some compounds, like we discussed in the mass center.  
24 Well, we have these methods and these methods, but they  
25 could be combined. They look like we can combine ODHT, if

1 I remember correctly, and the musks together, you know, in  
2 a single protocol. And that's, what we call, metabolite  
3 profiling, you know, where you say assume a dedicated or  
4 improved method for extracting and clean-up and one method  
5 for targeting those compounds could do more than what was  
6 published before. So we call this chemical profiling, if  
7 you like.

8           And that would be totally useful for this  
9 Program. And actually this is what was -- what was  
10 discussed an hour ago for these designated chemicals, you  
11 know. So the question now is can we actually put more  
12 into it, right?

13           So when we look at all the designated chemicals,  
14 how many of those would be with that much of error,  
15 whatever that is, right --

16           (Laughter.)

17           PANEL MEMBER FIEHN: -- still be found in a  
18 single shot, yeah? And it can be done in, A, liver, in B,  
19 blood plasma, in C, in saliva, and then 3 in urine, and  
20 then in house dust and in -- yeah?

21           So you would go then through the matrices, but  
22 it's certainly a very valued approach because it's more  
23 cost effective, because then you see, well, of the -- I  
24 have no idea how many we have -- 200 -- many of the  
25 thousand -- I just say thousand. You know, in this method

1 we would see 200 of those, and in that method we would see  
2 another 150 of those. You know, if you just use these two  
3 methods, we have already a third of our lists looked at.  
4 And hey, you know, we only pay once. So it might be cost  
5 effective.

6           And that is one of the ideas to combine  
7 analytical strategies. That is essentially also behind  
8 metabolomics, because biochemical analysis was always done  
9 in the last 60 years. I mean, there's my grandfather's  
10 and your grandfather. They've done this in HPLC-UV. And  
11 so it's not news. The only news is that we have now  
12 better machines and better protocols that can actually  
13 find those peaks, discern those compounds and put them all  
14 in different -- in a matrix for statistics.

15           Yeah, so that's, I think -- and the other one  
16 would be, of course -- you know as I said, it could be a  
17 totally untargeted screening where you then focus on  
18 certain characteristics, the lipophilicity, or the  
19 elements that are included, you know -- but that would be,  
20 you know, saying well maybe apart from the designated  
21 chemicals, maybe there's exposure that we should know  
22 about, and that we haven't done in our screen.

23           CHAIRPERSON LUDERER: I actually have a question  
24 kind of wondering whether we could use metabolomics in  
25 the -- kind of somewhat in the opposite direction. So one

1 of our designated chemicals was diesel, but we didn't --  
2 don't have a specific biomarker, you know, that we know is  
3 specific for diesel, and would it be possible to use  
4 metabolomics say, you know, comparing a population of  
5 exposed and unexposed and trying to sort out whether  
6 there's some good biomarkers to differentiate?

7           PANEL MEMBER FIEHN: Yeah, that would -- that's  
8 fairly easy to do. It's fairly easy. And I would almost  
9 say fairly routinely, you will see papers out there,  
10 biomarkers of exposure. In nutrition -- I mean, I work  
11 mostly with nutritionists and they say biomarkers of fish  
12 eating versus meat eating. That's much harder, you know,  
13 but biodiesel and so on is much easier.

14           And also some of that can be nicely done in the  
15 laboratory. You could have animals exposed here, animals  
16 exposed there. So in Davis, for example, we have  
17 cigarette smoke exposed animals, many of them. I have  
18 even breast milk from mice exposed and unexposed, yeah.  
19 So, you know, these things can be done much easier than  
20 say nutritional exposure.

21           CHAIRPERSON LUDERER: Dr. Bradman.

22           PANEL MEMBER BRADMAN: Just following up a little  
23 bit on your comment with respect to diesel. And I should  
24 say the question you asked was my next question.

25           (Laughter.)

1           PANEL MEMBER BRADMAN: So that solved it. But  
2 there's actually a group at the University of Washington  
3 that is developing or examining a biomarker for diesel.  
4 And I actually forwarded that to the Program. I think  
5 they're looking at 1-nitropyrene. And we actually have a  
6 situation in the Bay Area, San Francisco Bay Area, where  
7 we have Interstate 580 and Interstate 880. And Interstate  
8 580 big trucks are banned, and Interstate 880 is full of  
9 big trucks.

10           And I wonder if there could be an opportunity  
11 there to actually try to look at differences possibly  
12 related to diesel. A little speculative right now, but  
13 this could be an interesting research project.

14           PANEL MEMBER FIEHN: Yeah. I comment on this  
15 1-nitropyrene. In most studies we've -- actually, in all  
16 studies we've done, it always turned out better to look at  
17 the panel of compounds, not just this one. So, you know,  
18 glucose levels doesn't only tell about diabetes. And I'm  
19 fairly sure there will be other sources of nitropyrene  
20 than diesel.

21           So once you have a panel of say 20 compounds,  
22 you're much more on the safe side to say this is so much  
23 of say diesel exposure than if you only study one  
24 compound. Just as a general comment these panels are  
25 statistically usually more sound.

1 CHAIRPERSON LUDERER: Dr. Quintana.

2 PANEL MEMBER QUINTANA: My question is about  
3 sensitivity again.

4 Sorry, my chair keeps tipping over.

5 Getting back to 1-nitropyrene. I'm working with  
6 the University of Washington at the U.S.-Mexico border,  
7 and we're finding that in femtomole concentrations in  
8 urine. And I'm thinking it wouldn't maybe pop up as your  
9 first screen some of these compounds. And so you're  
10 saying I think you need to look more widely at  
11 metabolomics, partly because of a sensitivity issue or  
12 just in general.

13 PANEL MEMBER FIEHN: No. What I tried to say is  
14 it all depends on your sample prep. If you take a litter  
15 and, you know, of -- you know, the sensitivity of the mass  
16 spectrometers are very extraordinary. So Thermo Fisher  
17 has come out, I think four years ago, with a study where  
18 they used their own triple quadrupole -- LC/MS triple  
19 quadrupole compared to their own accurate mass mass  
20 spectrometer and found that the sensitivity and  
21 selectivity of the accurate mass mass spectrometer was  
22 even better than triple quadrupole, because their  
23 selectivity is by the mass resolution.

24 So the question is how likely is it that noise,  
25 at that time -- at that retention time, will have the

1 exact same accurate mass? And there, you go -- that's the  
2 idea of selectivity using a high resolving power.  
3 Whereas, the triple quadrupole historically has done --  
4 has relied on the MS/MS transition. And MS/MS transition  
5 actually is also not so unique, as people think.

6 So, you know, otherwise the sensitivity issues is  
7 most related to sample preparation. The mass specs are  
8 exactly the same. And you can -- there's even people  
9 using triple quadrupoles for profiling, by the way.

10 CHAIRPERSON LUDERER: Do we have questions --  
11 additional questions or comments from Panel members?

12 Let's see if we have any public comments?

13 None. All right.

14 Comment from a former Panel member.

15 CAL/EPA DEPUTY DIRECTOR SOLOMON: Gina Solomon,  
16 Cal/EPA.

17 Among the issues that you raised about potential  
18 pitfalls, one of the ones that worried me the most, I  
19 guess -- maybe I don't know if I was right to be worried  
20 the most by this one, but was that difficulty  
21 differentiating peaks that are extremely close together.  
22 And you showed one where actually the peak was  
23 mis-identified because there were two that were sort of  
24 merged. And so actually the number was wrong in the  
25 initial identification of that peak. And so one could

1 actually then proceed merrily along to identify a compound  
2 that wasn't even there at all.

3           And the only solution that you presented there  
4 was to use way more powerful instruments than I think we  
5 will have access to. So I'd just sort of like to get a  
6 little bit more of a sense from you about how likely that  
7 will be if we do what it seems like this Panel is thinking  
8 about doing, which is constraining the universe a little  
9 bit to try to maybe have a slightly higher confidence that  
10 we're identifying, what we think we're identifying. Are  
11 we still going to risk identifying completely the wrong  
12 things with any kind of frequency?

13           PANEL MEMBER FIEHN: Yeah. Metabolomics is the  
14 art of not doing sample clean-up.

15           (Laughter.)

16           PANEL MEMBER FIEHN: So therefore, you have very  
17 complex chromatograms. But it depends on your - how can I  
18 say - concentration scheme that you can also have very,  
19 very complex chromatograms under usual solid phase  
20 extraction clean-up procedures, if you not just look for  
21 your target compounds.

22           The idea of omics, in general, is hypothesis  
23 generation. So what I, not really, alluded to maybe in a  
24 half sentence is, whatever you find in your first pass  
25 screen has to be validated in a second pass with a

1 targeted method. So let's assume you would have some  
2 novel chlorine compounds. Three more that were seemingly  
3 there, and you then make big claims. Don't. Go for a  
4 second trial.

5 (Laughter.)

6 PANEL MEMBER FIEHN: And this is the same, by the  
7 way, in human clinical trials. We always go for  
8 two-thirds to one-third between discovery and validation  
9 sets. This is very important also I said when I talked  
10 about the PLS, partial least square, Where I said, well,  
11 it could be data overfitting. And similarly, it could be  
12 chromatography complexity driven, right.

13 So the idea is you have to have independent  
14 cohorts and maybe an independent method. Maybe you use  
15 another type of HPLC, which then will all of a sudden, you  
16 know, separate your compounds, right, of interest, or you  
17 alter the methods.

18 Of course, that is the only valid strategy to  
19 say, well, okay, the first thing is discovery, hypothesis  
20 generation. And then you validate your hypothesis in a  
21 second cohort or a second method or both. It doesn't --

22 CAL/EPA DEPUTY DIRECTOR SOLOMON: One other. If  
23 I may. A follow-up question is so what I think I heard  
24 you just say there is that you -- that the second phase is  
25 not -- does not require getting a purified sample of the

1 chemical --

2 PANEL MEMBER FIEHN: No.

3 CAL/EPA DEPUTY DIRECTOR SOLOMON: -- which is  
4 often difficult -- would be difficult for us to do. And I  
5 heard other investigators kind of say, well, if you find  
6 it, then you have to actually, you know, obtain an  
7 analytical standard and that would be tough.

8 But it sounds like you're talking about a  
9 different type of validation, which is much more feasible,  
10 so that's great.

11 And then the other question is fluorine,  
12 fluorinated compounds, would those be as easy as  
13 brominated and chlorinated, because there's a whole class  
14 of perfluorinated compounds that are of interest to us as  
15 well?

16 PANEL MEMBER FIEHN: Of course, these people who  
17 have told you that it's great to have the analytical  
18 reference compound are correct. I mean, this is the  
19 mainstay of chemistry, have the compound and you can do  
20 your recoveries, your sensitivity analysis, your precision  
21 and accuracy tests, spiking into a matrix, getting it out  
22 over the matrix. We love to do that.

23 However, as you say, it's not always possible,  
24 and especially not if you're look at 1,000 compounds. So,  
25 you know, here it was -- I meant to say that if you have

1 the concern that there might be some compounds involved or  
2 that your power of your cohort was not high enough, you  
3 then can validate other statistics, if you find it again.  
4 This is where the study was fail was -- genomics step  
5 analysis.

6 Or you can also do a different type of analytical  
7 method and find the compound again, because it will have  
8 the same accurate mass and same MS/MS. So even if you  
9 don't know, it should then again show up in a different  
10 method.

11 Now, the NIH has also funded two centers for  
12 chemical synthesis, specifically for that purpose. One is  
13 in Stanford, one is at North Carolina, because the NIH  
14 found that also kind of difficult to say we have all these  
15 new compounds popping up and how do we validate those and  
16 verify. So we have two designated NIH chemical synthesis  
17 centers that could help.

18 When we put in the -- actually, anyone can put in  
19 proposals and then they get -- there's a committee looking  
20 at those and so on. But we -- you have to argue about  
21 health effects or why is it important. You can't just say  
22 I found those, give me those compounds. You have to say  
23 something like in 100 percent of the mothers where the  
24 children get sick, you know, or something like that, you  
25 know, it's been found. So there has to be a good

1 argument.

2           So basically after the discovery phase, but yeah,  
3 I mean -- and the NIH will not go away. They are actually  
4 good guys. They think forward in these strategies. And  
5 this is -- by the way, I didn't say, these are the  
6 so-called NIH Common Fund, for those of you who are  
7 policymakers. And the NIH Common Fund funds about 100  
8 different research areas, including metabolomics, which  
9 wouldn't fit in the typical column of a certain NIH  
10 institute, say NCI or NIEHS or so, but rather it's a  
11 common fund of all the different NIH institutes.

12           CHAIRPERSON LUDERER: All right. Thank you again  
13 very much for that wonderful presentation and ver  
14 interesting discussion.

15           (Applause.)

16           CHAIRPERSON LUDERER: All right. The last --  
17 second to last item on our agenda is the open public  
18 comment period. So this is the opportunity for members of  
19 the public to comment on anything related to the Program,  
20 not necessarily today's presentations.

21           Dr. She.

22           DR. SHE: I want to comment on Dr. Fiehn's  
23 presentation. This is really timely presentation, and  
24 based on your experience. I say it's timely because the  
25 Program is about to start unknown identification for

1 Biomonitoring Program, so your experience and especially  
2 your lab's resource experience could be very helpful to  
3 us.

4           So my comment is I listened to what you talk and  
5 also the other comment, sounds like the Biomonitoring  
6 Program should start with some kind of -- instead start  
7 with unknown unknown. It's kind of more like targeted  
8 unknown, which is a class of chemicals. For example, you  
9 mentioned -- let's say, we look for the phenol BPA  
10 analogs. They possible also go through the same Phase 1,  
11 Phase 2 reactions. One of the Phase 2 reactions is they  
12 combine with the same group of the -- due to -- so then we  
13 can use mass spectra feature filters. Just look at, let's  
14 say, neutrolosis or ions to combine with accurate mass,  
15 isotope profiling.

16           We can be more easily successful. Also, use --  
17 like Gina's questions, like fluorine compound. You  
18 mentioned like bromine, chlorine. They're easy to  
19 identify by the M plus 2 isotopes. Fluorine have very  
20 unique features, a single element, and also matches the  
21 deficiencies. So using the matched deficiencies it may be  
22 able to help this.

23           So I'd like to get your comment if the Program --  
24 we have the machine set to -- already set up. We thought  
25 about it to start something smaller, kind of demonstrate

1 success, and then allow the question of how we expand it.  
2 So we thought maybe start with BPA analogs. And at the  
3 same time -- like the last time at the SGP, I talk about  
4 Derek Mueller or someone recommended 600 chemicals and  
5 published it in the EST. We look for all of this group of  
6 chemicals. And they make very significant for this kind  
7 of program.

8           So second thing is, as you know, whatever we put  
9 by a TOF or a trap we already have, we never reach your  
10 levels of equipment. So the collaboration is very  
11 important, not only between us, between the expert like  
12 you -- for example, like other question Gina mentioned,  
13 for example, we found two mass is so close we cannot tell  
14 them what they are. That's for the others.

15           Finding unknown is like playing puzzles. You put  
16 all of the substructures together to find the original  
17 structure. Mass spectrometer, just like a process -- you  
18 have a vase -- that adds to the people's -- you have vase.  
19 You smash them on the floor. Now, you pick up all the  
20 pieces. You have to put them together to say, okay,  
21 what's my original vase?

22           So many guess process, so we should require the  
23 cross validation. So with your laboratory's experience,  
24 we start kind of like infancy. What do you think is  
25 possible? For example, not routine, like at least, one,

1 we have a very hard question how we should put this piece  
2 together, and then can come to you to ask, you know, some  
3 help.

4 PANEL MEMBER FIEHN: Yeah. If I might respond to  
5 that, please?

6 CHAIRPERSON LUDERER: Dr. Fiehn.

7 PANEL MEMBER FIEHN: So I think you are -- thank  
8 you for your clarification on the fluorine elements. I  
9 had forgotten to answer that question by Gina Solomon.

10 So, yes, I think what we have done here is we  
11 have outlined different strategies that can be followed  
12 from exposure questions. So, you know, that would be a  
13 metabolomic strategy for diesel exposure or other types of  
14 exposures, to asking questions, from starting from the  
15 designated lists of chemicals or certain parts of  
16 designated chemicals, and expanding those to non-targeted  
17 screens on certain elements that might -- that are known  
18 to be xenobiotics, and you know, historically being  
19 important. So there are different strategies that can be  
20 followed. You know, that is definitely, you know,  
21 something I think we can take from our discussion today.

22 DR. SHE: Thank you.

23 CHAIRPERSON LUDERER: Okay. Thank you. So we  
24 received one email comment. Were there other speakers as  
25 well that are -- or is this the only comment?

1           Okay. All right. So this is from David Nuber,  
2 and relates very much to what you were just saying, I  
3 think. "I am still not clear about what the purpose of  
4 metabolomics will serve within the Biomonitoring Program.  
5 Can the SAG please elaborate?" I think perhaps SGP is  
6 what he meant.

7           So he's asking about what the purpose of  
8 metabolomics is within the Biomonitoring Program. You  
9 actually just were addressing.

10           PANEL MEMBER FIEHN: Yeah. I mean, just to  
11 replace metabolomics to chemical profiling, and then, you  
12 know, make it clearer. And if you then specify the  
13 chemical profiling to certain areas of interest, for  
14 example, designated chemicals or exposure programs,  
15 knowing what, you know, compounds -- new compounds that  
16 might be found in this manner by accurate masses. It  
17 could also be correlated, for example. So you'd say  
18 correlation of these compounds that correlates to this  
19 type of environment, you -- the interstates were mentioned  
20 before.

21           I think this is where untargeted or class-based  
22 targeted strategies would nicely fit into the target-only  
23 strategies that were historically followed. So like an  
24 extension rather than replacement, of course. So that's a  
25 good way to think about chemical screening or chemical

1 profiling.

2           And, of course, I mean -- well, we still have the  
3 biological component. And if you know that certain  
4 populations are more exposed, say a lot more different  
5 exposed, so these are the -- a lot diesel exposed, and now  
6 we have a match control who are not, you can actually try  
7 to use our type of metabolomics, which is biological  
8 driven and see if there are differences in the biochemical  
9 regulation of these cohorts.

10           So as I said, we do this for drugs, but replace  
11 drugs with other xenobiotics and you have the same idea,  
12 right? So that is, of course, something that would be yet  
13 another health-related component, rather saying we need  
14 for -- to wait for a health endpoint, we want to know if  
15 certain populations, where we know they're exposed to  
16 these types of chemicals, are there significant  
17 differences in biochemical regulation?

18           CHAIRPERSON LUDERER: Thank you very much. Do we  
19 have any -- Dr. Bradman, do you have a --

20           PANEL MEMBER BRADMAN: Just one -- ask that  
21 question too. I think the most simple terms that can  
22 really be useful for biomarker development, both in terms  
23 of effects and exposure. And to put it in maybe familiar  
24 terms from a decade or so ago, we kind of have no knowns,  
25 and unknown knowns, and unknown unknowns. And I think the

1 goal here is to bring the unknown knowns into the known  
2 knowns, and move the unknown unknowns into something that  
3 we can also know at some level. And I really think that's  
4 kind of the -- summarizes where we can take this. And  
5 that ultimately, it can be useful for the Biomonitoring  
6 Program, which is primarily focused on targeted chemicals.

7 CHAIRPERSON LUDERER: We had -- since we have no  
8 additional public comments, and we thought this would be a  
9 good time, Dr. Quintana had something that she wanted to  
10 bring up as a potential priority chemical to be considered  
11 in the future.

12 PANEL MEMBER QUINTANA: This comment is for the  
13 Panel members, at least those that remain. And it has to  
14 do with should we perhaps give an emphasis on measuring  
15 biomarker of tobacco exposure, secondhand smoke, and  
16 active smoking routinely in our samples, which is not  
17 currently being done, is my belief. I'm thinking  
18 specifically of cotinine. Although, there are others.  
19 And cotinine is a metabolite of nicotine, which has a  
20 half-life of less than a day, which is fairly short, but  
21 it tends to be quite stable in populations, because the  
22 behaviors are quite stable of exposure to secondhand  
23 smoke.

24 And so I had -- don't want to open that up for a  
25 discussion today - it was not my intent - but to ask the

1 Panel if they would consider discussing this at a future  
2 meeting?

3 CHAIRPERSON LUDERER: So I might add that  
4 cotinine is already on the designated chemicals list,  
5 because it's one of the chemicals that the CDC NHANES  
6 program monitors.

7 Any thoughts or comments from Panel members  
8 regarding that?

9 Dr. Bradman.

10 PANEL MEMBER BRADMAN: Just, I once brought that  
11 issue up, and there was some concern about the laboratory  
12 commitments that would be necessary to do that. I don't  
13 know what the situation is now, but I think actually Dr.  
14 Lipsett responded about that. That was at the very  
15 beginning of the Program. But certainly, I mean, we know  
16 tobacco smoke is a very important public health issue.

17 PANEL MEMBER QUINTANA: I should add that there  
18 have been studies using the NHANES data on the chemicals  
19 that we are measuring, such as PAHs and metals that have  
20 found associations with secondhand smoke, so it may help  
21 interpret some of the variability in our data. But again,  
22 I don't want to open it up to discussion at this very late  
23 hour, but more to see if the Panel is open to discussing  
24 it in the future.

25 CHAIRPERSON LUDERER: Did somebody -- what Dr.

1 Bradman brought up, does someone from one of the labs  
2 recall that discussion and maybe want to comment on that?

3 DR. SHE: We like -- Panel noticed and CDC  
4 already monitor it, but I think they monitor it in blood  
5 serum, not in urine, right?

6 PANEL MEMBER QUINTANA: Serum mostly. Sometimes  
7 in urine.

8 DR. SHE: Serum, yes. And then CDC have a  
9 method. So for us to follow up, we need to -- I didn't  
10 check the method carefully, so we possibly need to look at  
11 the method. And also like you mentioned, this --  
12 actually, we also feel important. Like, we recently look  
13 at some PAH datas. Definitely, that's -- you feel like  
14 you miss something to give an interpretation of what you  
15 found.

16 So laboratory, I think, either ECL or us, we will  
17 look at it and then come back for laboratory part in next  
18 meeting.

19 CHAIRPERSON LUDERER: Okay. Thank you very much.  
20 So it sounds like, you know, there would be some interest  
21 in discussing that further at a subsequent meeting.

22 Another public comment. Okay. Great. Thank  
23 you.

24 All right. We did get -- this is response from  
25 Dave Nuber to the response to his prior question. He

1 says, "Therefore we are looking more at the exposome than  
2 the metabolome".

3 PANEL MEMBER FIEHN: Yes, of course.

4 CHAIRPERSON LUDERER: Yes. That's the goal of  
5 the Program.

6 (Laughter.)

7 CHAIRPERSON LUDERER: All right. So then if we  
8 don't have any additional public comments or Panel  
9 comments, I'd like to go ahead and wrap up.

10 I want to announce that as always a transcript of  
11 this meeting will be posted on the Biomonitoring  
12 California website when it's available, and remind  
13 everyone that the next Scientific Guidance Panel meeting  
14 is scheduled on March 27th, 2014 in Oakland.

15 So thank you all for coming and the meeting is  
16 adjourned.

17 (Thereupon the California Environmental  
18 Contaminant Biomonitoring Program, Scientific  
19 Guidance Panel meeting adjourned at 4:03 p.m.)

20  
21  
22  
23  
24  
25

## 1 C E R T I F I C A T E O F R E P O R T E R

2 I, JAMES F. PETERS, a Certified Shorthand  
3 Reporter of the State of California, and Registered  
4 Professional Reporter, do hereby certify:

5 That I am a disinterested person herein; that the  
6 foregoing California Environmental Contamination  
7 Biomonitoring Program Scientific Guidance Panel meeting  
8 was reported in shorthand by me, James F. Peters, a  
9 Certified Shorthand Reporter of the State of California,  
10 and thereafter transcribed under my direction, by  
11 computer-assisted transcription.

12 I further certify that I am not of counsel or  
13 attorney for any of the parties to said meeting nor in any  
14 way interested in the outcome of said meeting.

15 IN WITNESS WHEREOF, I have hereunto set my hand  
16 this 29th day of November, 2013.

17  
18  
19   
20  
21

22 JAMES F. PETERS, CSR, RPR  
23 Certified Shorthand Reporter  
24 License No. 10063  
25