

MEETING
STATE OF CALIFORNIA
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
ENVIRONMENTAL CONTAMINANT BIOMONITORING PROGRAM
SCIENTIFIC GUIDANCE PANEL

CAL/EPA HEADQUARTERS BUILDING
COASTAL HEARING ROOM
1001 I STREET
SACRAMENTO, CALIFORNIA

THURSDAY, JULY 14, 2011
10:09 A.M.

JAMES F. PETERS, CSR, RPR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

APPEARANCES

PANEL MEMBERS

Ulrike Luderer, Chairperson, M.D., Ph.D.

Asa Bradman, M.S., Ph.D.

Marion Kavanaugh-Lynch, M.D., M.P.H.

Thomas McKone, Ph.D.

Julia Quint, Ph.D.

Gina Solomon, M.D., M.P.H.

OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT

Dr. George Alexeeff, Acting Director

Ms. Carol Monahan-Cummings, Chief Counsel

Mr. Allan Hirsch, Chief Deputy Director

Ms. Amy Dunn, Safer Alternative Assessment and
Biomonitoring Section

Ms. Sara Hoover, Chief, Safer Alternative Assessment and
Biomonitoring Section

Dr. Gail Krowech, Staff Toxicologist, Safer Alternatives
Assessment and Biomonitoring Section

Dr. Lauren Zeise, Chief, Reproductive and Cancer Hazard
Assessment Branch

DEPARTMENT OF PUBLIC HEALTH

Dr. Rupali Das, Chief, Exposure Assessment Section,
Environmental Health Investigations Branch

Dr. Jianwen She, Chief, Biochemistry Section

APPEARANCES CONTINUED

DEPARTMENT OF TOXIC SUBSTANCES CONTROL

Dr. Myrto Petreas, Chief, Environmental Chemistry Branch

ALSO PRESENT

Mr. Davis Baltz, Commonweal

INDEX

	<u>PAGE</u>
Welcome	1
Overview of the Meeting	3
Program Update	
Presentation: California Department of Public Health (CDPH)	6
Panel Questions	27
Public Comment	34
Panel Discussion and Recommendations	38
Laboratory Update	
Presentation: CDPH and Department of Toxic Substances Control (DTSC)	
Presentation by Dr. She	41
Panel Questions	47
Presentation by Dr. Petreas	54
Panel Questions	70
Public Comment	71
Panel Discussion and Recommendations	71
Lunch	78
Chemical Selection Update	
Presentation: OEHHA	79
Panel Questions	89
Public Comment	96
Panel Discussion and Recommendations	98
Non-Targeted Screening of Biological Samples for Environmental Contaminants	
Presentation: Dr. Roy Gerona, San Francisco General Hospital and University of California, San Francisco	105
Panel Questions	122
Public Comment	131
Panel Discussion and Recommendations	133
Panel Discussion of March Workshop on Understanding and Interpreting Biomonitoring Results	
Presentation: OEHHA	154
Panel Questions	160
Public Comment	174
Panel Discussion and Recommendations	177

INDEX CONTINUED

	<u>PAGE</u>
Panel Discussion of Potential Input for Upcoming 2012 Program Legislative Report	
Introduction: Dr. Luderer, Chair	177
Panel Discussion	182
Public Comment	194
Panel Recommendations	
Open Public Comment Period	195
Wrap up	200
Adjournment	200
Reporter's Certificate	201

1 event of a requirement of an evacuation, you'll need to
2 leave the room, take your valuables, go out the back or
3 the side entrance, or we'll go out this entrance, go down
4 the stairs, and then the evacuation point is the park
5 across the street.

6 So I wanted to remind everyone that this meeting
7 is being webcast, and it's being recorded, and it's being
8 transcribed. There will be a transcript of the meeting
9 posted on the website in several weeks. And since there
10 are people listening via webcast, please be sure to speak
11 clearly into the mic.

12 So I'll just briefly give an overview of the last
13 meeting of the Scientific Guidance Panel. That was held
14 in Oakland on March 16th. At that meeting, the Panel
15 provided suggestions for improving a chemical selections
16 screening tool to help identify candidates for potential
17 designation.

18 The Panel responded to questions on quote,
19 "Looking forward for Biomonitoring California", to aid
20 with the program planning. The Panel provided input and
21 recommendations on the other agenda topics, which included
22 program and laboratory updates, biomonitoring literacy,
23 developing report-back materials with input from the study
24 participants, and the Kaiser Permanente collaboration
25 Biomonitoring Exposure Study, also known as BEST.

1 For a summary of the Panel's recommendations, and
2 input at the March meeting, you can see all the
3 information at the -- on the website at
4 biomonitoring.ca.gov.

5 So I'd like to now turn the meeting over to Dr.
6 Luderer.

7 CHAIRPERSON LUDERER: Thank you, Dr. Alexeeff.
8 I'd also like to welcome everyone, members of the public
9 listening via webcast, and also here at the meeting, the
10 California -- Biomonitoring California staff and the Panel
11 members.

12 And I wanted to just briefly summarize what the
13 goals are for the Panel for the meeting today. So the
14 Panel will receive program and laboratory updates and
15 provide input to the program. We will hear an update on
16 chemical selection activities and provide input on the
17 revised screening approach for possible candidates for
18 designation, which will be illustrated using an example of
19 organotins.

20 We'll hear a presentation on non-targeted
21 screening of biological samples for environmental
22 contaminants, and provide recommendations. We will also
23 discuss the March 17th, 2011 workshop on understanding and
24 interpreting biomonitoring results and provide
25 recommendations. And we'll discuss preparing a letter

1 with Panel recommendations for the Program to be included
2 in the upcoming 2012 report to the Legislature.

3 I just wanted to remind everyone also that each
4 presentation will be followed by an opportunity for Panel
5 discussions, as well as a public comment period and then
6 time for further Panel discussion and recommendations.

7 If any member of the public would like to make a
8 comment, then he or she should fill out a comment card,
9 which can be obtained from the staff table outside the
10 room --

11 MS. HOOVER: Actually, in the back of the room.

12 CHAIRPERSON LUDERER: In the back of the room,
13 okay. And please turn them into Amy Dunn. Amy, could you
14 identify yourself, please.

15 MS. HOOVER: She's actually out of the room right
16 now, so she'll be sitting up here.

17 CHAIRPERSON LUDERER: Okay. Great.

18 And then members of the public who are not here,
19 but are participating via the webcast and would like to
20 submit comments can send an Email to the Biomonitoring
21 Email address, which is biomonitoring one word at OEHHA,
22 O-e-h-h-a, .ca.gov during the meeting. And Biomonitoring
23 California staff will provide the comments to me so that I
24 can read them allowed at the appropriate time.

25 To make sure that the meeting proceeds on

1 schedule and that every commentator has the opportunity to
2 speak, the comments will be timed and will be subject to
3 time limits. So we'll basically divide the time allotted
4 for public comments equally among all the individuals
5 wishing to speak.

6 So please also remember to keep your comments
7 focused on agenda topics being presented. And then at the
8 end of the day, there will also be an open public comment
9 period.

10 I also wanted to again remind everyone to speak
11 directly into the microphone and please introduce yourself
12 before speaking. And this is for the benefit of the
13 people participating via webcast, as well as for the
14 benefit of the transcriber.

15 So the meeting -- the materials for this meeting
16 are provided in a meeting folder for the Scientific
17 Guidance Panel members and via the website for the public.
18 And there are also a small number of handouts and one
19 folder for viewing at the staff table outside the
20 auditorium.

21 I also wanted to mention that we'll take two
22 breaks today. The first one will be for lunch around
23 noon, and then there will be a break in the middle of the
24 afternoon sessions.

25 Now, that we've taken care of business, I wanted

1 to announce the first item on the agenda is an update on
2 the California Environmental Contaminant Biomonitoring
3 Program activities. And Dr. Rupali Das who is Chief of
4 the Exposure Assessment Section, California Department of
5 Public Health and lead of Biomonitoring California will
6 make that presentation.

7 Dr. Das.

8 (Thereupon an overhead presentation was
9 presented as follows.)

10 DR. DAS: Thank you, Dr. Luderer. Welcome to the
11 members of the Scientific Guidance Panel, audience members
12 who are attending here in the auditorium as well as those
13 of you attending via webcast.

14 Pardon me, while I get to the beginning of the
15 presentation here.

16 As Dr. Luderer announced, it's my pleasure this
17 morning to provide you an update of the overall program
18 for the California Environmental Contaminant Biomonitoring
19 Program, also known as Biomonitoring California.

20 I want to thank all the staff who work on this
21 program that are not acknowledged at the end, but are
22 actually too many to list in their entirety. And also
23 thank the staff who helped put this presentation together.

24 --o0o--

25 DR. DAS: You see here an outline of my

1 presentation. I'll be providing the usual updates on the
2 funding status, staffing changes, describe the progress
3 we've made on the three pilot projects, describing briefly
4 an update on the public involvement plan, our activities
5 to proceed with strategic planning for the Program as a
6 whole and a few other items that we've been engaging in.

7 --o0o--

8 DR. DAS: Our funding as you know, comes from two
9 sources. State funds come from the Department of Toxic
10 Substances Control specifically to support Biomonitoring
11 California. And that is the Toxic Substances Control
12 Account, or TSCA, which provides \$1.9 million annually to
13 fund staff from the three departments.

14 In addition, we have a five-year CDC cooperative
15 agreement. We're currently in Year Two. Our fiscal year
16 ends August 31st, and we will begin Year Three in
17 September.

18 As I announced at the last Panel meeting, our
19 year two funding remains stable at \$2.6 million. And we
20 submitted our application for the year three funds in the
21 spring, and we're hoping to hear very soon about the
22 status of the submission, as well as the level of funding,
23 which we, at this point, expect to remain stable.

24 --o0o--

25 DR. DAS: At the last meeting, I had announced

1 that we were in the process of hiring an administrative
2 assistant. She started shortly after that meeting. Nancy
3 Lopez is not able to be here in the room with us, but
4 she's been a great addition to the Program, and I wanted
5 to welcome her formally to the Program.

6 We also have some vacancies, three vacancies,
7 across the program, two research scientists, and one
8 research scientist supervisor. Two of these vacancies are
9 due to retirements. One of the retirements is Diana Lee,
10 who you know retired at the end of last year. She was
11 instrumental in building many aspects of the Program. And
12 the other is Dr. Frank Barley, whose retirement was
13 announced by Dr. She at the previous meeting.

14 We're in the process of recruiting for both of
15 these positions. We were also fortunate to have
16 additional assistance in the Program. We had a Fogarty
17 Scholar. Our Fogarty program is a collaboration with the
18 Shanghai CDC. It's been ongoing for 10 years. The
19 Fogarty Scholar did some considerable and innovative work
20 in helping to develop methods to analyze infant blood
21 spots. Unfortunately, the Fogarty scholarship period is
22 only six months, and we regret that he had to leave, but
23 he did some interesting work and Dr. She may speak a
24 little bit more about that in his presentation.

25 We're also fortunate to have nine summer interns,

1 two in OEHHA, funded by State funds, and seven in the
2 Environmental Health Lab funded by the CDC. And Dr. She
3 will also introduce some of those interns who are here
4 with us in the room today.

5 --o0o--

6 DR. DAS: I'd next like to provide you an update
7 on our three pilot projects. First, the Maternal and
8 Infant Environmental Exposure Project, MIEEP, also known
9 as the Chemicals In Our Bodies Project.

10 As you know, this was the first pilot project
11 that we began actively collecting samples.

12 --o0o--

13 DR. DAS: And we're happy to report that we have
14 completed enrollment. Our initial intended target was --
15 we thought we were going to get 50 to 75 participants
16 based on the funding. But with additional funding, we
17 were able to recruit 92 participants, which we're very
18 happy about. This is just shy of the ideal 100 that we
19 were hoping for. But still, given the amount of effort
20 that it takes to recruit women in their third trimester
21 and then obtain biological samples during the labor and
22 delivery, we're very happy that we got 92 participants.

23 So enrollment completed means that we recruited
24 participants. They've delivered. We obtained the
25 biological samples, which are urine, whole blood, and

1 serum. And the questionnaire completion -- questionnaires
2 have been completed.

3 There are two questionnaires. The interviewer
4 administered questionnaire, which was administered in the
5 clinic and the self-administered questionnaire, which
6 participants completed at home.

7 Dr. Tracey Woodruff, who is the PI at UCSF will
8 be presenting more details about this project and its
9 status at the November Panel meeting. I'll just give you
10 a brief overview of our progress so far. We're happy to
11 report that the analysis of blood metals has been
12 completed for the mothers and the cord blood samples.

13 Unfortunately, whole blood and serum was not
14 collected for all the mothers. And this was primarily due
15 to the fact that some of the deliveries took place, either
16 on weekends or at night when project staff are not
17 available to remind clinical staff to collect some of the
18 samples. And so while we obtained urine on just about all
19 the mothers in the project, whole blood and serum was not
20 collected for all mothers.

21 And cord blood was collected for fewer infants
22 than mothers. And this was primarily due to difficulties
23 in collecting the cord blood. But the process of
24 collecting the cord blood was that it was collected in a
25 beaker and then transferred to specimen containers. Where

1 we had to make a choice where there wasn't enough cord
2 blood to provide both whole blood and serum samples, we
3 prioritized serum, because of the analytes that we were
4 primarily interested in.

5 So we have a few more serum samples for cord
6 blood than whole blood. As I mentioned, we'll provide
7 more details on the numbers in November.

8 --o0o--

9 DR. DAS: Even though we've completed a big part
10 of the MIEEP effort recruitment and sample collection,
11 there's a lot of work left for us to do in the next coming
12 months. We're completing the analyses for the biological
13 samples. So other than metals, we have a lot more
14 analyses to complete. We're entering and analyzing
15 questionnaire data.

16 And a big push is going to go towards doing all
17 the work that's involved in returning results back to
18 individuals. At the last Panel meeting, you heard from
19 Dr. Rachel Morello-Frosch and Holly Brown Williams about
20 the template and some of the materials that they developed
21 that we'll be using as a basis to return results. We
22 still have to develop chemical-specific materials for the
23 various chemical categories that we're analyzing. And a
24 lot of work remains to finalize those materials. We're
25 doing that in collaboration with Dr. Morello-Frosch at UC

1 Berkeley, as well as with UCSF.

2 After we return results to individuals, we'll be
3 publicly disseminating the findings. And that includes
4 presentations to this Panel, presentations at other
5 scientific conferences, and peer reviewed publications.

6 --o0o--

7 DR. DAS: One of the public health successes of
8 biomonitoring in this project was the identification of an
9 elevated mercury level. We detected an elevated mercury
10 level in one mother infant pair. The level in the mother
11 was twice the level that triggers early notification,
12 which is 5.8 micrograms per liter and is based on the
13 level set by the Centers for Disease Control and
14 Prevention for pregnant women.

15 We conducted a follow-up investigation with UCSF
16 staff, the county health department, and U.S. EPA. And in
17 the process of that investigation identified the source of
18 the elevated mercury as a face cream that was adulterated
19 in Mexico and imported in hand luggage.

20 We issued a health alert to the local clinics and
21 to the health department that had been developed last year
22 in response to another incident investigation that we had
23 conducted, when we identified an elevated mercury level in
24 a number of families in the Bay Area.

25 As a result of this incident, we're considering

1 additional follow-up actions, including broadening our
2 outreach and notification and possibly additional
3 regulatory actions. This is a successful public health
4 activity, because we identified an elevated case, we
5 identified the source of exposure, and we worked with
6 local, State, and federal departments and agencies, and
7 expanded the number of agencies we were able to work with,
8 even from our efforts last year. So I hope that this will
9 lead to even more outreach and prevention, in terms of
10 controlling mercury face creams as a source of exposure.

11 --o0o--

12 DR. DAS: This is the health alert that was
13 developed in response to the mercury investigation that we
14 did in 2010. There's Spanish and English versions.

15 In addition to this health alert, which was
16 distributed to clinics, Poison Control Centers in the U.S.
17 as well as in Mexico last year, we also developed a public
18 service announcement in Spanish that was broadcast on
19 Spanish language radio in certain areas in California.
20 And we're hoping that we'll expand this outreach as a
21 result of this investigation.

22 The materials are accessible on that website
23 that's listed at the bottom of this slide.

24 --o0o--

25 DR. DAS: I'd next like to turn to our

1 Firefighter Occupational Exposures project, or FOX.

2 --o0o--

3 DR. DAS: At the last meeting, I told you that we
4 had completed recruitment of 101 firefighters. And at
5 this point, I'm happy to report that we've completed the
6 analyses for blood metals and the perfluorinated
7 chemicals.

8 If you'll recall, we had also started dust sample
9 collection with a separate pot of funds, not related
10 biomonitoring. At that time, we had conducted dust
11 sampling from five fire houses. Since the last meeting,
12 we've expanded the dust sample collection to 20 fire
13 stations. And our environmental chemistry lab, Dr. Myrto
14 Petreas' lab, will be analyzing the samples for PBDEs,
15 PCBs, and PAHs.

16 --o0o--

17 DR. DAS: As with MIEEP, we have a lot of work
18 left to do on FOX. We're completing the biological sample
19 analyses, analyzing questionnaire data, and we'll be
20 conducting usability testing specifically for FOX. So Dr.
21 Morello-Frosch made a presentation on usability testing
22 among mothers that was relevant to the MIEEP study. We
23 feel that usability testing this population, firefighters,
24 is really essential, because we want to use the template
25 that Dr. Morello-Frosch developed, but improve on it and

1 make it specific to this population.

2 We feel that this population is different from
3 the mothers. They're demographically different. They're
4 different in education. They're workers. They have a
5 different mentality about the nature of biomonitoring and
6 many other things. And so we want to make the materials
7 relevant to this population. I'll be mentioning a little
8 bit more about this in the next slide.

9 We will be reporting results not only to the
10 participants, but also aggregate results to the Orange
11 County Fire Authority Oversight Committee that made our
12 collaboration possible. This is a union-management
13 collaborative committee, and we want to make sure they get
14 the results -- the aggregate results around the same time
15 that we return individual results. Following that, we'll
16 be disseminating the results publicly as we plan to do for
17 MIEEP.

18 --o0o--

19 DR. DAS: Usability testing for the FOX materials
20 will start next month. Currently, our documents are
21 undergoing IRB review. And this will include the template
22 for returning results and for several different chemicals.
23 We've used the template developed by Dr. Morello-Frosch
24 and have made some changes to improve on the language, as
25 well as the graphic representation. And after we get some

1 friendly. It was very long. And in order for them to
2 read all the elements that the clinic staff felt they
3 should read, they actually had to be coached through the
4 form. So it involved a lot of time for the clinic staff
5 to make sure the firefighters went through. And this just
6 gives you an idea of how long it was.

7 The form was actually 10 pages long, which is
8 really a long time. Firefighters actually just wanted to
9 sign the form without reading it. And so clinic staff
10 really needed to walk them through it to make sure that
11 they learned about all the parts that they thought were
12 relevant.

13 --o0o--

14 DR. DAS: Even though FOX took place in an
15 outpatient clinic where staff were knowledgeable about
16 obtaining biological specimens, there were errors made
17 during specimen collection. We learned that obtaining
18 specimens in a clinical setting is quite different than
19 clinical research.

20 The staff appreciated getting the illustrated
21 protocols, which were different than what they were used
22 to. Specifically, the serum processing required skills
23 that the staff previously were not used to. They needed
24 to use glass pipettes instead of plastic. They needed to
25 use specific specimen containers. And these skills needed

1 to be learned and practiced.

2 --o0o--

3 DR. DAS: Dr. Israel made some suggestions for
4 improvement, including that the consent form be made
5 reader friendly, and contain more white space and larger
6 fonts. We need to weigh this against including all the
7 required elements. Including white space and fonts, and
8 bulleted text is great, but it means a longer document.
9 There's no easy way to get around that informed consent
10 form.

11 In addition, we realized that it's really
12 important to provide very close training and oversight for
13 clinic staff, no matter how experienced we think the staff
14 are. And that extends to any other collaborating
15 laboratory staff. Face-to-face training or video training
16 is really optimal. We think that an early site visit is
17 really necessary to observe that proper protocols are
18 being followed. We think providing a hotline for clinical
19 staff to call and ask about questions is really important.
20 And it's important to include time and resources to make
21 sure that proper lab practices are being followed prior to
22 recruiting participants, to make sure that none of the
23 specimens are actually compromised by inappropriate
24 protocols being followed.

25 And we're using some of those lessons learned in

1 our upcoming projects that we're implementing in the
2 field.

3 --o0o--

4 DR. DAS: We think FOX was a success in spite of
5 all these barriers that we identified. We recruited the
6 participants. We obtained specimens. We didn't encounter
7 the same obstacles that we did in MIEEP, in terms working
8 in the labor and delivery ward. The firefighters were
9 very willing to participate. We had a very high
10 participation rate.

11 And at the last Panel meeting, the Scientific
12 Guidance Panel encouraged us to capitalize on this success
13 and to consider additional firefighter biomonitoring
14 studies in other parts of the state. We also received
15 public comment to that same effect.

16 And so following up on that advice, we've started
17 some preliminary conversations with the San Francisco Fire
18 Department, who's very interested in biomonitoring their
19 members. And we're just thinking now about the future,
20 and collaborating with other researchers in pursuing some
21 biomonitoring studies, possibly with the San Francisco
22 Fire Department.

23 Firefighters are very interested in environmental
24 sampling combined with biomonitoring. And the types of
25 sampling we might be considering include area sampling,

1 personal breathing zone sampling, and incident based
2 biomonitoring, which is going to the site of an incident
3 shortly, or biomonitoring shortly after an incident to see
4 if the response to the incident has an effect on analytes.
5 These are all possibilities and we haven't committed to
6 anything yet.

7 --o0o--

8 DR. DAS: Based on the advice from the Panel, we
9 are also exploring the possibility of future
10 collaborations with additional occupational cohorts, such
11 as nail salon workers. We've had a preliminary
12 conversation with Dr. Thu Quach and Dr. Peggy Reynolds,
13 and are considering the possibility of collaborating with
14 the Healthy Nail Salon Collaborative. And I'm hoping that
15 possibly in the future there will be a presentation made
16 to the Panel from those researchers, so you can weigh in
17 on the possibility of collaborating with that cohort.

18 --o0o--

19 DR. DAS: We are just getting into the field with
20 our next pilot Biomonitoring Exposure Study or BEST, which
21 is a collaboration with the Kaiser Permanente Division of
22 Research Program on Genes, Environment, and Health.

23 --o0o--

24 DR. DAS: You heard about this last time. Just
25 to refresh your memory, we're planning to recruit 100

1 participants based on a stratified random sample of Kaiser
2 Permanente Northern California members in the Central
3 Valley. We'll be recruiting from seven counties listed
4 here, and shown and highlighted on the map. And thanks to
5 Dina Dobraca for making this map. It very nicely shows
6 the geographic area for our sample.

7 --o0o--

8 DR. DAS: Our phlebotomist was hired and trained
9 just in the last few weeks. And this week we're training
10 the Kaiser lab staff on our protocols based on the lessons
11 we learned from FOX. The letters were mailed to potential
12 participants. And their field visits will begin in the
13 next few weeks.

14 The field visits will be a site visit to the
15 participant's home with biological sample collection and
16 making sure that they fill out a questionnaire that will
17 have been mailed in advance. If the participant wishes to
18 come to a Kaiser facility instead of their home, we will
19 accommodate that as well.

20 This is the protocol for the first 10
21 participants. After that, we're looking to having
22 electronic questionnaire administration and the process of
23 sample collection will be reviewed.

24 --o0o--

25 DR. DAS: At the last Panel meeting, I made the

1 laboratory order system for sample collection at a Kaiser
2 facility. It was just not possible to implement that for
3 this collaboration to get it into the field in the time
4 frame that we wanted, but it's something we're looking at
5 for the future.

6 Environmental assessments will not be part of
7 this particular collaboration. It would involve
8 additional funds. And so this is something we could
9 consider in the future, based on the availability of
10 additional resources.

11 --o0o--

12 DR. DAS: And finally, there was a question about
13 specimen quality control. We're planning to collect urine
14 and blood and ship them overnight. There was a question
15 posed as to whether shipping urine samples overnight would
16 compromise the integrity of the samples and affect the
17 analytes.

18 The Environmental Health Laboratory reviewed the
19 literature and the sample integrity studies, and we've
20 decided that storing and shipping overnight on ice packs
21 would retain sample integrity for up to 24 hours. And if
22 you have additional questions on that, Dr. She will answer
23 that. And this pertains primarily to the urines.

24 --o0o--

25 DR. DAS: A brief update on the public

1 We're completing our CDC annual report as we do
2 every year. And I just wanted to provide you an update on
3 the National Biomonitoring System Guidelines, which I had
4 mentioned at a previous meeting. The American -- the
5 Association of Public Health Labs, APHL, has begun an
6 effort to make biomonitoring more recognizable to the
7 nation as a whole and to start a national biomonitoring
8 system, which is biomonitoring capacity, capability in
9 every state.

10 The collaborating organizations include APHL,
11 Association of State and Territorial Healthy Officials,
12 ASTHO, and the Council of State and Territorial
13 Epidemiologists, CSTE. Together these three organizations
14 provide laboratory, policy, and epidemiology expertise.

15 And I've participated on the CSTE Committee. And
16 together with the Minnesota staff, Jean Johnson in
17 particular, in developing the CSTE guidance for these
18 organizations. We're nearing the completion of these
19 three guidance documents and we hope that they'll be
20 released in the near future and provide guidance to states
21 that are considering developing biomonitoring programs.

22 --o0o--

23 DR. DAS: Finally, we could not have this
24 complicated Biomonitoring Program without the assistance
25 of many, many people, the village of biomonitoring. And

1 I'd like to extend a big thank you to all the staff whose
2 work is reflected in this presentation.

3 --o0o--

4 DR. DAS: And also to the various collaborators
5 including the SGP members and our collaborators outside
6 the Program itself.

7 Thank you very much. And we have time for
8 questions.

9 CHAIRPERSON LUDERER: Thank you very much, Dr.
10 Das. And once again, I'm impressed with all the progress
11 that the Program has made with the limited resources that
12 are available.

13 Do any of the Panel members have any questions or
14 comments for Dr. Das?

15 Dr. Bradman.

16 PANEL MEMBER BRADMAN: Well, I think just -- I'm
17 sure this is probably echoed through many in the room,
18 just the value in biomonitoring, in this case identifying
19 the elevated mercury exposure and the follow up. I think
20 that's a good example of the potential public health
21 benefits of this program.

22 In this case, we had a compound that had CDC
23 guidelines and procedures for follow up, but I think
24 everyone probably nodded their head and said that was a
25 worthwhile -- well, not worthwhile, but that was a find

1 that I think really underscored the value of the Program.

2 DR. DAS: Thank you, Dr. Bradman. I should also
3 mention that beyond the organizations that I mentioned at
4 the local State and federal levels, we also work with
5 other partners in the Department of Public Health
6 including our Food and Drug Laboratory Branch who did the
7 analyses for screenings and other products that we tested.
8 So it was truly not only a public health success, but a
9 great collaboration as well.

10 CHAIRPERSON LUDERER: Dr. McKone.

11 PANEL MEMBER MCKONE: Just following up on the
12 mercury. It actually -- I think you brought this up. I
13 think we want to focus a little bit on the lesson it gives
14 for the stratification of samples as we build the Program
15 to represent different populations. I mean, I know this
16 is a challenge for CDC, but here's a case where it's
17 actually a relevant -- it's not a really large -- it would
18 have been hard to find through a random screen. I think,
19 you know, it's the factors that made it show up. But I
20 think it does point out that there are going to be
21 chemical substances or other substances that may be very
22 high in a relatively small subgroup, that if we don't know
23 how to stratify our samples, and we just do like a random
24 sample, the way you would for a political poll or
25 something, you're going to miss the hot spot or a group at

1 a high level.

2 I just think we have to be aware of that kind of
3 challenge in building a sample strategy.

4 DR. DAS: I think that's an excellent point. The
5 fact that we were able to identify this case had to do
6 with the population that we focused on for the maternal
7 infant project, and also the substance. The use of
8 mercury in face creams is actually fairly widespread among
9 a number of different ethnic groups. And there have been
10 reports from all over the U.S. that highlight that issue.

11 But I think if we had done a small random sample,
12 we may not have been able to pick it up. So your point is
13 well taken on that.

14 CHAIRPERSON LUDERER: Dr. Quint.

15 PANEL MEMBER QUINT: Julia Quint. I also want to
16 just echo. It's so impressive, particularly not only the
17 work that you've done with limited resources, but the
18 lessons that are being learned from the smaller studies
19 that will service us well, when and if we have the
20 resources to do a larger study.

21 And in that vein, in several of the studies you
22 identified them as barriers. And, you know, they're also
23 a positive in the terms -- in the sense that we won't have
24 to learn those lessons again for a larger study, but
25 they've required -- they seem to require increased

1 resources. You mentioned the hotline. You mentioned
2 video training and in-person training for clinical staff,
3 which was surprising to me. I would think that clinical
4 staff would know how to handle all specimens, but
5 certainly not true.

6 So I'm just wondering about the impact of -- you
7 know, in terms of at some point, you know, sort of
8 identifying whether or not this is going to mean that we
9 might want to just highlight the need for some additional
10 resources should those become available anywhere, you
11 know, based on what we're learning from these smaller
12 studies. And one other thing, you mentioned chemical
13 specific information, which I think is really important to
14 be developed for MIEEP project.

15 And I'm wondering how transferable those
16 specific -- that information will be for other studies, I
17 mean, in terms of the same chemicals or the same analytes
18 being measured. You know, are we going to have to have
19 specific chemical information per project, or, you know,
20 I'm sure we can use some of the information, but it sounds
21 like for some of these projects there's going to be a need
22 to have information, not only for the participants, but
23 also for health care providers and things like that. So I
24 don't know if you know, at this point, how transferable
25 they will be between projects.

1 DR. DAS: So in response to your first comment
2 about resources. Yes, the interaction with clinical staff
3 and partnering laboratory staff has been extremely labor
4 and resource intensive. And so far, we've managed that by
5 people just working very hard to interact.

6 As our project expands and we take on more
7 projects, we hope that we'll make the processes more
8 efficient. And knowing things in advance will maybe
9 decrease the amount of work involved, but it does
10 highlight the fact that we do need staff dedicated to
11 interacting with our partners, and I think highlights the
12 point that you made about the need for additional
13 resources.

14 With regards to your question about whether
15 materials that are chemical specific and made for one
16 project are transferable to another. Certainly that's the
17 way we've been approaching the issue with our partners
18 across the Departments. We're working very close with
19 OEHHA to develop chemical-specific materials. And while
20 we may start with one project, because that's the first
21 one that we're developing materials for, we're certainly
22 looking at the issue as something that's transferable to
23 other projects.

24 As I mentioned, we'll be doing usability testing
25 for FOX. And that usability testing really tests the kind

1 of language and the education level that might be relevant
2 for a particular population. But the scientific
3 information that goes into different materials certainly
4 should be very similar. And we're hoping that we won't
5 have to do a lot of changes from one project to the next.
6 But I think the usability testing that we're about to
7 conduct will help us to figure out how transferable
8 materials are from one project to the next, and also we'll
9 find out something from BEST as well. So the intent is
10 that we will make the chemical-specific materials
11 transferable and not have to redo them for every project.

12 And, Sara, did you want to add something about
13 that.

14 MS. HOOVER: Yes. Sara Hoover, OEHHA.

15 Just one other little note on that. We are
16 checking if there's a certain tailoring that might be
17 needed, like, for example, the firefighters, we looked for
18 special firefighter exposures in that case.

19 In general, though, we are able to keep it very
20 similar. And then ultimately the goal, we're actually
21 embarking on a kind of major reworking of the website with
22 some funding from CDC. And ultimately, the goal will also
23 be to get that chemical specific information on the
24 website.

25 CHAIRPERSON LUDERER: Dr. Solomon.

1 PANEL MEMBER SOLOMON: Yes. I just wanted to
2 echo the comments of other Committee Members. Very
3 impressive progress since the last meeting. And I may
4 have missed this, but when you talked about the staffing
5 changes and the vacancies. Are those vacancies -- are
6 you -- have you been given the green light to proceed with
7 those hires or are those in someway tied up with hiring
8 freeze issues or budget issues? It would just be helpful
9 to know.

10 DR. DAS: Within the Department of Public
11 Health -- I can't speak for the Departments, because we
12 have slightly different approaches. But the State as a
13 whole does have a hiring freeze. We have a process
14 whereby if we want to hire someone, we have to request an
15 exemption to the hiring freeze. And it -- unless, we get
16 the freeze exemption, it does make it difficult to hire
17 into the position.

18 So each position is handled individually. And so
19 our ability to hire into a particular position would
20 depend on whether the freeze exemption is granted for that
21 position, as well as who the candidates are. Certain
22 positions can -- are easier to hire into than others.

23 PANEL MEMBER SOLOMON: And have freeze exemptions
24 been requested or granted for the two research scientists
25 and the research scientist supervisor positions?

1 DR. DAS: I'm in the process of requesting a
2 freeze exemption for the research scientist that's in the
3 Department of Public Health. Regarding the research
4 scientist supervisor --

5 DR. SHE: For the research scientist -- this is
6 Jianwen She, Chemistry Section Chief. And for the
7 research scientist supervisor position, we also have a
8 process to request an exemption.

9 MS. HOOVER: And for OEHHA's research scientist
10 position, we've been given internal approval to write such
11 an exemption request, and we'll be working on that.

12 CHAIRPERSON LUDERER: Okay. Are there any other
13 questions from Panel members at this time?

14 If not, we can move on to public comments. Did
15 we receive any requests from the public to speak?

16 MS. DUNN: One in the room and one from Email.

17 CHAIRPERSON LUDERER: It looks like we have two.
18 One from the webcast and one here in the room.

19 Do we have a card for the -- oh, okay. Great.

20 CHAIRPERSON LUDERER: We'll start out with the
21 member of the public who is here in the room. This is Mr.
22 Davis Baltz from Commonweal.

23 MR. BALTZ: Good morning, Davis Baltz of
24 Commonweal. Nice to see all of you again. And I would
25 also like to add my congratulations to Dr. Das and the

1 Program staff for the significant progress that's been
2 made. And has been noted repeatedly, over recent years,
3 accomplishing quite a lot with resources that are less
4 than was initially anticipated that the Program would
5 have.

6 So I particularly took note in your presentation
7 about others have mentioned the flagging of the high
8 mercury in the MIEEP program. And a couple things that
9 stuck out for me was, one, that you acted to develop a
10 health alert in both English and Spanish. And that I'm
11 sure was read and used by many.

12 And the other observation was that as a face
13 cream that came in hand luggage from Mexico, this product
14 escaped probably any kind of regulatory scrutiny that we
15 might have in the U.S. But the point goes that I feel
16 still holds that we have a lot of consumer products that
17 have chemicals of concern in them, and we, even in this
18 country, if it's not imported in hand luggage, we don't
19 have an idea, in many cases, what the ingredients are, and
20 we really actually need that.

21 And this kind of is a sort of side effect of the
22 Biomonitoring Program, in that it can identify potentially
23 problematic ingredients in chemical products, and
24 contribute to one of the stated purposes of the Program,
25 which is to both assess public health efforts to reduce

1 problematic exposures. And if we don't know what they
2 are, we obviously can't take steps to implementing kind of
3 regulatory actions. So the fact that this -- the MIEEP
4 program discovered this just points out the value of
5 biomonitoring.

6 And similarly with the FOX project, I'm impressed
7 that you are tailoring responses for the population at
8 hand. It shows sort of the extra care and diligence that
9 the program is devoting to developing these materials.
10 And the clinical collection process that the staff needed
11 to be actually trained to using glass pipettes over
12 plastic.

13 And it struck me that I'm sure plastic is sort of
14 the standard these days. But in this particular case, we
15 needed to use glass, because presumably the plastic
16 pipette would contaminate the sample. And again, it
17 points out to the wide spread exposure that we have in the
18 health care setting and elsewhere to chemicals that we may
19 not know enough about.

20 So we look forward to the further progress of the
21 program. And in particular, when you're ready to release
22 the results publicly for both MIEEP and FOX, I'm sure the
23 nonprofit and NGO community will be eager to publicize
24 those results, and perhaps add our own messaging to them
25 specifically with the value of biomonitoring in

1 California.

2 So thanks again for all the progress.

3 CHAIRPERSON LUDERER: Thank you very much for
4 that comment. I'm going to read the other comment that
5 came in over the webcast. This is from Tim Shestek at the
6 American Chemistry Council. And he actually has a
7 question which is, "Is the results reporting form template
8 developed by Dr. Morello-Frosch available for public
9 review?"

10 DR. DAS: Rupali Das, California Department of
11 Public Health.

12 Dr. Morello-Frosch made a presentation at the
13 previous Scientific Guidance Panel, and the materials that
14 were provided at that meeting are available for public
15 review. The template itself, I actually don't remember
16 what materials were submitted. So whatever is available
17 for public review was made available at the previous Panel
18 meeting.

19 MS. HOOVER: Yeah, in her presentation -- sorry,
20 Sara Hoover OEHHA. In her presentation, she showed
21 examples of what the template had looked like and how it
22 improved. So it's not the complete set of materials, but
23 it's a good representation of it. So I can actually
24 provide the link after the meeting.

25 CHAIRPERSON LUDERER: Great. Thank you.

1 We now have some time for more Panel discussion
2 and recommendations about this update, Program update.

3 Do any of the Panel members have comments?

4 Dr. Solomon.

5 PANEL MEMBER SOLOMON: Gina Solomon. I was
6 just -- I find this mercury case or mercury situation
7 intriguing. And there are a number of clinics that serve
8 primarily, you know, Latino populations that may be
9 affected by these -- the sales of these types of skin
10 products.

11 And there was a study done by the Chicago Tribune
12 that purchased skin creams from stores and found a high
13 rate of mercury contamination in commercially available
14 skin creams, skin lightening creams, blemish reducing
15 creams acne creams, et cetera, that were sort of usually
16 imported from Mexico, but not in hand luggage. So I think
17 it's a broader issue than just, you know, a few folks.

18 So one possible follow-up project could involve
19 partnering with some community clinics and doing, you
20 know, a slightly broader biomonitoring study. And I'm
21 sure there aren't resources available for that, but I just
22 wondered whether there was any thought about doing
23 something like that.

24 DR. DAS: Rupa Das, Department of Public Health.
25 That's a very good suggestion. If we were to focus on

1 mercury, that is certainly something that we could
2 consider all other benefits to biomonitoring as a whole.

3 As you mentioned, it is a matter of resources.
4 So if that was something that we decided was going to be a
5 programmatic focus, I think that would be a very good
6 population to partner with.

7 CHAIRPERSON LUDERER: Dr. Quint.

8 PANEL MEMBER QUINT: Yeah. Julia Quint. Sorry,
9 I have a frog in my throat.

10 One follow up I think which would be very
11 appropriate, would be to ensure that the green ribbon
12 science panel or DTSC and that whole effort is aware of,
13 you know, this finding. And given what Dr. Solomon said
14 about commercial products, also may be having
15 inappropriate mercury content.

16 That would be a possible way of helping to
17 prioritize consumer products within the green ribbon
18 science -- well, that whole effort in terms of the
19 regulation. So I think that's another good nexus between
20 these programs that should be highlighted, in terms of,
21 you know, the annual report or whatever to just show that
22 findings from this Program can relate very much to the
23 primary prevention effort that's going on that will go on
24 in that program.

25 DR. DAS: Thank you for that suggestion. And I

1 think that's an excellent follow-up action that we can
2 certainly take to provide this information to the green
3 ribbon panel.

4 CHAIRPERSON LUDERER: Any other questions from
5 other Panel members?

6 I did have a question, you mentioned the funding
7 situation that you're waiting to hear from the CDC about
8 the upcoming years of funding. And I was wondering
9 whether you had any information about the TSCA funding for
10 2011-12?

11 DR. DAS: I don't have any information to
12 indicate that it would be any different, but we can
13 certainly follow up on that.

14 CHAIRPERSON LUDERER: Good. Okay. Any other
15 recommendations, discussion from Panel members?

16 All right, then we can, if not, move on to the
17 next set of presentations and Dr. Das will introduce Dr.
18 She and Dr. Petreas.

19 DR. DAS: Our next speaker is Dr. Jianwen She,
20 who is the Chief of the Biochemistry Section in the
21 Environmental Health Labs of the California Department of
22 Public Health. And he will be followed by Dr. Myrto
23 Petreas who is the Chief of the Environmental Chemistry
24 Lab in Department of Toxic Substances Control.

25 Dr. She.

1 (Thereupon an overhead presentation was
2 Presented as follows.)

3 DR. SHE: Thanks, Dr. Das for your introduction.
4 And good morning, Scientific Guidance Panel members and
5 conference audience.

6 I will update you now with the Environmental
7 Health Laboratory's progress from the last four months.

8 --o0o--

9 DR. SHE: First, I will update with new staff
10 change. As Dr. Das mentioned, we have four laboratory
11 interns. And they are in the audience. And Anthony Zhou
12 and Austin Long is helping with PAH methods sample
13 preparation in the laboratory. John Li is helping with
14 phthalate sample preparation. And Sherry Wang is working
15 on dry blood spots and the dried ultra low volume of
16 blood. We talk about the 50 microliters samples.

17 And while we are welcomed with these new
18 energetic interns, who will be our next generation of
19 environmental scientists, we also lost two experienced
20 staff as Dr. Das mentioned. Frank Barley retired at the
21 end of June. And Mr. DaSheng Lu returned to the CDC --
22 Shanghai CDC. Their departures are a big loss to the
23 laboratory.

24 --o0o--

25 DR. SHE: Moving into the laboratory equipment,

1 we are currently planning to purchase another LC-MS/MS for
2 perchlorate analysis. And hopefully this machine can be
3 also used for unknown compound identification and confirm
4 any target compounds. We are evaluating three options at
5 this moment.

6 Also, in the last four months, we installed a
7 Zypher Solid Phase Extraction Workstation. We hope this
8 workstation will help us to automate sample preparation,
9 improve the precision and decrease the time and the labor
10 needed for sample clean up.

11 --o0o--

12 DR. SHE: At the same time, we have continued to
13 develop methods, validated methods. And along with this
14 effort, we use the validated method for the production,
15 which I need for the MIEEP, FOX study sample analysis.

16 --o0o--

17 DR. SHE: These two new methods -- these two
18 methods are still under development. One is metal panel
19 in urine by ICP-MS. The second one is arsenic and mercury
20 speciation in urine by LC-MS. And I do not have so much
21 progress for these two methods at this moment. Hopefully
22 at the next meeting we will have more update on these two
23 methods.

24 --o0o--

25 DR. SHE: Currently, we have three methods under

1 validation. One method is dry blood spots. And the other
2 method -- actually, similar method works low volume of
3 blood, as it related to dry blood spots. So that low
4 volume will be called dry blood spots volume, roughly 50
5 microliters to 100 microliters.

6 Also the hydroxy-PAH by GC High Resolution MS and
7 the hydroxy-PAH, by LC-MS/MS.

8 --o0o--

9 DR. SHE: For the DBS method, as I mentioned at
10 the March meeting, SGP meeting, we face a few challenges.
11 We have very low sample available -- very low volume of
12 sample available. Also, due to the filter paper, the
13 analytes is very hard to extract and recover. So to solve
14 the first challenges for the low volume samples, we
15 maximize instrument sensitivity.

16 And then to address the second challenges,
17 basically we eliminated clean-up procedure, because we
18 have very low volume of sample analytes concentration --
19 amount was so low. If we have multiple steps for
20 clean-up, analytes will be lost with that clean-up.

21 So we basically developed a method without any
22 sample clean-up. We hope this DBS method can be used for
23 samples collected by California Newborn Screen Program,
24 and the DBSV method can be used for the California
25 maternal serum alpha-fetoprotein screening program.

1 And also both methods can be generally used for
2 less invasive collection methods, for example, finger tip
3 blood collection.

4 --o0o--

5 DR. SHE: So basically we call it the one-drop
6 blood method. So they actually inject blood into the
7 system, we need to evaluate the system performance because
8 blood is a very complex matrix. The matrix effects may
9 interfere in our analysis.

10 So this slide shows after hundreds of runs, we
11 still have a very symmetric peaks, as is shown on the top.
12 And then the baseline at the bottom two graphs shows we
13 have very clean baselines. So the system can handle the
14 samples without clean-up.

15 --o0o--

16 DR. SHE: The top slide shows line 14 PCB and the
17 5 PBDE. We start with PCB and the PBDE first. We hope we
18 can expand the method to other analytes. New York used
19 the DBS for PFOS chemicals to do the time trend and the
20 CDC worked on the DBS on the perchlorate.

21 Now, let's check our method of performance for
22 this low volume and dry blood spots. Column 2 and column
23 4 show us -- show you the recovery we get from this method
24 with blood sample at the 0.16 micrograms per liter. And
25 we have a very reasonable recovery. DBS the recovery is

1 good. DBSV recovery even better.

2 And also column 3 and the column 5 show the
3 precision of the method. The same was true DBS have very
4 good precision and the DBSV even better.

5 The last two columns show we have satisfied
6 accuracy. And you can see the last column is certified
7 values. Our DBSV values matched with certified values.

8 --o0o--

9 DR. SHE: The most challenging part of our
10 Fogarty staff Mr. DaSheng Lu develop this method left.
11 Now, we have Sherry continue this process.

12 A lot of the method we validate the hydroxy-PAH
13 by High Resolution GC-MS and also hydroxy-PAH by LC-MS/MS
14 method.

15 The reason we did the two methods, because we
16 start with hydroxy -- GC-MS method, we notice some
17 problems. And sometimes, especially High Resolution GC-MS
18 it required a very skilled operator. We not necessarily
19 have them always with us, so that's why we started a
20 second method LC-MS/MS.

21 But this slide shows you with our High Resolution
22 MS method, the last column show you after 20 runs, our
23 precision is very well. And the only problem was with the
24 two naphthalenes, 2-hydroxynaphthalene our precision is
25 slightly high. But for all of the others our RSD is below

1 level of the mercury, as Dr. Das reported already.

2 We are halfway through OP specific metabolites
3 and the creatinine analysis, and a quarter of the way
4 through OP common metabolites and the environmental phenol
5 analysis. So we are confident that we are on track to
6 finish all of the analytes for both studies on time.

7 We are about to start analysis of the phthalates
8 and the PAH in the next one or two months.

9 --o0o--

10 DR. SHE: Thank you

11 CHAIRPERSON LUDERER: Thank you very much, Dr.
12 She. It's very exciting to see these new panels of
13 analytes coming on line. Congratulations for successfully
14 bringing those into production.

15 Do any of the Panel members have questions or
16 comments?

17 Dr. Bradman.

18 PANEL MEMBER BRADMAN: I have a comment and a
19 question once again. Just to echo the previous comment,
20 really great work and lots of progress, and very
21 impressive.

22 I had a question about the DBS and those
23 methodologies. One I want to say that I think that is
24 really exciting information. I mean, this is tremendously
25 important and is potentially a huge resource to take

1 advantage of this extensive, you know, blood collection
2 that's occurring and can really, I think, influence both,
3 you know, biomonitoring and epidemiologic studies and
4 really provide a lot of good public health information for
5 the State and nationally.

6 So I just want to underscore, I think that's a
7 really great and important effort.

8 I also had a question. I'm wondering if maybe
9 another step in validation might be to take some
10 individuals and volunteers and collect some blood and spot
11 some of the -- and basically collect some blood spots from
12 an adult, where you have, you know, blood collected by
13 venipuncture and then blood collected on a dry -- in a
14 dried blood spot method, and then compare the results,
15 particularly for things like the BDE-47, 99, the ones that
16 are often -- you know, we know they're going to be
17 present, and that way it would be another level of
18 validation beyond the spike and recovery studies.

19 DR. SHE: Thank you, Dr. Bradman. So the
20 suggestion is we validate our method with the new method
21 and the traditional method, is that it?

22 PANEL MEMBER BRADMAN: Exactly.

23 DR. SHE: I think that's a very good suggestion.
24 Dr. Myrto Petreas and I discussed a similar idea, and she
25 collected about 10 samples. And we prefer to finish them.

1 We've kind of new sample analytes. Yeah. So we will
2 follow-up with that suggestion to further validation.

3 PANEL MEMBER BRADMAN: Yeah, I think that would
4 be a great next step. And again, just to underscore the
5 real value of this work for California and nationally.
6 This is a tremendous resource that's very important to
7 develop.

8 DR. SHE: Thank you.

9 CHAIRPERSON LUDERER: Dr. Quint.

10 PANEL MEMBER QUINT: I just want echo that.
11 Julia Quint. Just tremendous gains. I have a question
12 about the blood spots as well. You mentioned that -- will
13 we be able to use existing samples from newborns with this
14 methodology? Is there an existing source of samples to
15 which this methodology can be applied in addition to, you
16 know, just future analyte detection and new samples? I
17 was unclear about that. I heard something about newborns,
18 and I know that, you know, we routinely conduct certain
19 tests on newborns, and I'm wondering if we're able to tap
20 into those samples, in terms of our biomonitoring effort.

21 DR. SHE: Ideally, if we can use archived
22 samples --

23 PANEL MEMBER QUINT: Yes.

24 DR. SHE: -- collected by the newborn screening
25 program. And for that effort there are other two

1 challenges. One is the possible contamination of the
2 first people. When the newborn program started, they
3 screen the paper for the new assay and all of the other
4 metabolites. We didn't screen the paper with -- for the,
5 example, PCB and the PBDEs.

6 So we did some work to try to test the paper from
7 different years in the laboratory. We think that's
8 possible we can use the newer, for example, after 1992 the
9 paper collected is possible we can use this archived
10 sample after 1992 with some background subtraction and --
11 but for maternal serums I'm sure we can do the archived
12 samples. Yeah, at least from material serum for this
13 moment.

14 And the method to apply from now on for the
15 newborns screening, we may need to work with newborn
16 screening program to see if we can screen some of the
17 paper for the future use.

18 PANEL MEMBER QUINT: So does that require a
19 consent mechanism, or do we automatically -- can
20 incorporate that into the program?

21 DR. DAS: Rupa Das. We've had some preliminary
22 conversations with the genetic disease screening program,
23 which houses both maternal serum and infant blood spots,
24 in order to -- our preliminary conversations suggested
25 that we would not need a consent. However, we're really

1 waiting to see if we have the methodologies before we
2 pursue an actual agreement to obtain the samples. And at
3 that point, we'd have to probably go through some sort of
4 process in order to determine which samples we would take
5 and determine how we would access the samples.

6 PANEL MEMBER QUINT: That's great. I just had a
7 separate question about the hydroxy-PAHs. You mentioned
8 two different instruments, I don't know if they're
9 different methodologies, but two different methods, but
10 two different instruments. And I'm wondering what
11 the -- why you need to measure them in two different ways.

12 DR. SHE: Yes. We started a follow-up of CDC
13 procedure, which is GC High Resolution MS method. As I
14 mentioned, this machine is in certain ways not a high
15 production machine. Each run take like 30 or 40 minutes,
16 and the machine is very volatile. And to make it
17 reliable, it's not so easy. And we are aware CDC is to
18 move away from it too. They're moving to -- from the High
19 Resolution GC-MS method to the GC-MS/MS method this
20 moment.

21 And since we follow them, so we don't want to
22 stop this procedure, because that's considered the golden
23 standard method of sensitivity. No other machine can
24 compare.

25 We run 20 runs. Within each run, we fail of new

1 samples. So we cannot afford to fail the patient sample.
2 We can fail the testing. So right now, I think we
3 validate the method for precision with GC-MS, but we kind
4 of feel is this good enough to real samples. And at the
5 same time, we have a resource to develop an LC-MS/MS
6 method.

7 So that method will come up very closely and at
8 the state -- stage to be validated. I hope in the next
9 three months we can see which one turns out to be better.

10 PANEL MEMBER QUINT: That's great. Thanks.

11 CHAIRPERSON LUDERER: Do any other Panel members
12 have questions?

13 I actually did have a question about the dry
14 blood spot testing. I also think that's extremely
15 exciting. I think that's such a huge leap forward and I'm
16 really looking forward to hearing more about that in other
17 Scientific Guidance Panel meetings in the future.

18 You know, one question that I had, and this is
19 just -- it's about your method performance data. And one
20 of the things that I noticed is that the recoveries a lot
21 of them seem to be greater than 100 percent. And maybe
22 this is just my lack of understanding, but you had
23 mentioned the filter paper, that there might be some
24 contamination. I was wondering if that might be
25 reflecting that or is there some other reason.

1 DR. SHE: Okay. This recovery ideally you want
2 it to fall between 70 and 120 percent. So your concerns
3 for some of them will have 124 percent. For example, PCB
4 118 with a dry blood spots would have 124. And then also
5 BDE-153, we can't handle 140 percent of recovery. So
6 these two we still need to work on. It's 140 percent may
7 be out of the reach. 124 is on the boundary.

8 But this is a sample we only take one spot is
9 about 50 microliters. Most of the state right now they
10 use a pooled spot, most other researcher. So if we able
11 to pool two spots together with a volume of about 100
12 microliters, we maybe able to improve that 153 BDE
13 recovery. So that's a good question. So we do still have
14 work to do to make sure every single congener can be
15 measured exactly.

16 CHAIRPERSON LUDERER: Thank you.

17 DR. SHE: Thank you.

18 CHAIRPERSON LUDERER: Dr. Alexeeff.

19 OEHHA ACTING DIRECTOR ALEXEEFF: Yeah. I have
20 one question. On the hydroxy-PAHs, I was just wondering
21 what medium the samples were in?

22 DR. SHE: Oh, that's urine. Urine samples.

23 OEHHA ACTING DIRECTOR ALEXEEFF: Okay.

24 CHAIRPERSON LUDERER: Okay. Shall we move on to
25 the next presentation then, at this time?

1 Thank you very much, Dr. She.

2 (Thereupon an overhead presentation was
3 Presented as follows.)

4 CHAIRPERSON LUDERER: Dr. Das, if you'd like
5 introduce to Dr. Petreas.

6 DR. DAS: The next speaker will be Dr. Myrto
7 Petreas, Chief of the Environmental Chemistry Lab at the
8 Department of Toxic Substances Control.

9 DR. PETREAS: Good morning. I'm very happy to be
10 back with you again, and update you on where we stand in
11 the DTSC lab, if I can find my slides.

12 Okay. This will be an update for our labs which
13 focuses on serum. We have to differentiate, no urine here
14 just serum.

15 --o0o--

16 DR. PETREAS: So today I will give you an update
17 on where we stand on our capabilities for analyzing
18 chemicals on the priority list that we have discussed,
19 where we stand with the field studies, FOX and MIEEP,
20 other activities we performed that benefit the Program -
21 these are our success stories - and also the challenges we
22 face.

23 --o0o--

24 DR. PETREAS: So starting on where we are with
25 methods. We now have validated methods and we have the

1 capability and capacity to perform analysis for the
2 persistent organic chemicals classes, such as the PCBs,
3 polychlorinated biphenyls, organochlorine pesticides,
4 polybrominated diphenyl ethers, the PBDEs.

5 I'll stop here. Those three classes we're able
6 to measure in the same sample of serum. And this is very
7 significant and very important restriction for us. We
8 have very limited and very precious serum samples. So
9 we're trying to do all these analyses in the same
10 specimen, one to two milliliters depending on where we
11 want to finalize the method.

12 So we're successful so far to literally extract
13 everything out of that one milliliter and get the three
14 first classes. Having that method, we tried to tweak it
15 and tried to see how much can we add on. For example,
16 from the bottom line, some of the selected brominated
17 organic compounds used as flame retardants from the
18 priority list, we're able to add some of those chemicals
19 onto our persistent organic chemicals methods.

20 Separately, with a separate sample, aliquot, and
21 a separate analysis are the perfluorinated compounds, the
22 PFCs. So, so far, in this slide we show what we can
23 perform as we speak now and we're still expanding.

24 --o0o--

25 DR. PETREAS: These are the specific selected

1 brominated organic compounds used as flame retardants that
2 we're able to add into our method for the persistent
3 organics. So I'm not going to read all of them, but these
4 are the ones that we can include and we have methods right
5 now.

6 Separately, the last bullet is
7 tetrabromobisphenol, TBBPA, another very important flame
8 retardant. We have a method for that. This is done
9 separately. This is done by LC-MS. Everything else
10 was -- our initial robust method was GC -- High Resolution
11 GC-MS. So we have that method and now we're starting to
12 perform with LC.

13 --o0o--

14 DR. PETREAS: A concern -- actually we'll go back
15 and -- even though we have methods for these flame
16 retardants, it took us a lot of time to tweak our original
17 method to include them. And our quality control is very
18 good. Whenever we spike in our test method, we find.
19 When we analyze real human blood samples, we never see any
20 of this.

21 So we're a little puzzled and frustrated, because
22 either our method is not as good as we think or these
23 chemicals are not really measurable. It's hard to say.
24 Nobody has performed or reported these analysis in human
25 blood. So we're a little frustrated on whether it's

1 really worth making our method either so much complex to
2 try to measure these chemicals, if maybe these are not
3 measurable. Maybe these chemicals -- we're looking at the
4 parent compounds. These are the compounds we're
5 measuring.

6 Maybe they're metabolized. Maybe we should be
7 looking at something else. There's not much information
8 on pharmacokinetic. There's not much information on
9 analytical methods. And, frankly, we haven't seen anyone
10 reporting on human data for these compounds. They're in
11 sediment. They're in dust. They're in -- they are there,
12 but whether they are absorbed or present in the blood, we
13 don't know.

14 So that's something we want to, at some point,
15 decide, whether we should forge ahead and include these in
16 our methods, before we go into the field studies or just
17 drop them and be more efficient and effective and stick
18 with our previous persistent organic chemicals.

19 In addition, there are other -- this long list of
20 selected brominated -- this slide should say brominated
21 and chlorinated organic compounds. These are all the
22 chemicals in alphabetic order that are on the list and we
23 have no capability yet.

24 Now, these are very disparate chemicals. They're
25 not even common classes. They're very different. They're

1 the samples and we receive them in several batches, we
2 want to minimize the number of thaw and freeze cycles. So
3 we try to time when we aliquot the samples to separate how
4 much we need for the persistent organics, for the
5 perfluorinated and for the lipids. The lipids will be
6 sent to a clinical laboratory in Boston for that.

7 So for the FOX study, we have aliquoted all of
8 the samples and we have performed all the PFC analysis for
9 all the samples. And we're just starting to do the
10 persistent organics. So 100 percent done for the PFCs and
11 about 10 percent for the other classes for the FOX.

12 The MIEEP, the 140 samples, we have aliquoted
13 half of them. Oh, no, sorry, about a third of them. And
14 we have done a few of the PFCs. We're very concerned
15 because, as you know, cord blood has very different --
16 it's a very different matrix. First of all, it has very
17 little lipids. It's about a 5th of the lipids -- 20
18 percent of the lipids that is present in serum. So we
19 weren't sure what we could see, but we're happy to report
20 that in these first batches that we run for maternal and
21 cord blood, we were able to see all the PFCs in our
22 methods. So we should soon have results for those.

23 --o0o--

24 DR. PETREAS: Now, I want to give you an update
25 on other activities that are funded from our Department or

1 through other extramural grants, but they are of benefit
2 to the Biomonitoring Program. And what we learn from
3 those, we can apply to our biomonitoring studies.

4 --o0o--

5 DR. PETREAS: We have a study funded by the
6 Breast Cancer Research Program. It's a teacher's study.
7 This is a long ongoing longitudinal study of teacher --
8 breast cancer in teachers. And the study was recently
9 funded. We have to measure persistent organics and
10 perfluorinated chemicals in blood of contemporary samples.
11 So women will be sampled now.

12 The questions we were faced with is can we have
13 more flexibility in the field. In this case, field staff
14 will be visiting the teachers in their homes or work
15 places, and among other things collect blood. And because
16 of the non-proximity to a facility, it may take time
17 before the blood can reach a laboratory before it can be
18 processed.

19 A secondary question is, that study has a lot of
20 archived blood samples, are they still usable?

21 So the question is how long can samples be stored
22 frozen? So for that, I should give you a little
23 background. The traditional method is what's called Red
24 Top. This is a Red Top tube, where you draw the blood,
25 but that requires -- this is not anticoagulated. So you

1 let it clot. And then it needs to be centrifuged and
2 processed within 24 hours. This is the standard method.

3 Processing means opening the tube transferring it
4 to another tube, centrifuge again. So it requires a
5 really clean environment and a safe environment for staff.

6 The other option is a Serum Separator Tube. And
7 this requires only centrifuging in the field before it can
8 be frozen, then shipped.

9 So the question is, is the SST equivalent to the
10 RT?

11 The other thing is how long can we wait? The
12 standard method again is we have to do it quickly, but can
13 we really stretch our limit to 48 hours, because sometimes
14 staff will not be able to return the samples within the 24
15 or 36 hours.

16 And the other question is, two years freezing is
17 that affecting the samples versus the shorter period that
18 we usually have?

19 So for that we conducted pilot study.

20 --o0o--

21 DR. PETREAS: And we drew blood from 11
22 volunteers, who all of them gave six tubes, still within
23 IRB approved protocols. So six tubes, three of each kind.
24 And we had this scheme where we processed them at
25 different times, either two hours or 48 hours. And we

1 stored them for one month, and analyzed them for the
2 persistent organics, pesticides, PCBs, PBDEs, PFCs, some
3 of the BFRs and the lipids.

4 I'm happy to say that with some elaborate
5 statistical analysis that our collaborators performed, you
6 can see there's no difference between the 48-hour Serum
7 Separator Tube and the standard two-hour processing of the
8 Red Top.

9 So this is a major breakthrough, not only for the
10 teacher study, because we are using now the Serum
11 Separator Tubes in the field, but this is something we can
12 use possibly with the BEST study with Kaiser, which may
13 require again field staff to go and visit participants in
14 their homes. So that's a possibility we can explore. So
15 I think we're very happy that we have this opportunity to
16 study that.

17 Now, we still have two tubes stored in the
18 freezer that we'll analyze in two years to try to answer
19 the other question.

20 --o0o--

21 DR. PETREAS: Another collaboration that we have
22 with the University of Cincinnati this time will help us a
23 lot with the MIEEP study. So we were asked to analyze 10
24 pairs of maternal serum and cord blood exactly like the
25 MIEEP study, for PBDEs and the hydroxy-BDEs for

1 compound. This is not true in the babies. Now, again,
2 PBDEs are not reported, not lipid adjusted. So on the
3 right-hand side in the dark blue bar graphs, we show the
4 lipid-adjusted PBDEs, which are the usual way of
5 presenting them. And there the data are not significantly
6 different. But again, as when we did the analysis of
7 pairwise, we saw the babies had much more than the
8 mothers.

9 So again, very limited data, only 10 samples so
10 far. We're going to do more with them. And that will
11 help us put in perspective what we find with the MIEEP
12 study.

13 --o0o--

14 DR. PETREAS: Another study we are collaborating
15 with UC Berkeley is the household dust. This is with the
16 childhood Leukemia study with Pat Buffler and her staff.

17 So in our lab, we are analyzing dust from vacuum
18 cleaners. A little background, if you remember, by 2006,
19 penta and octa-BDEs were restricted or banned. And
20 penta-BDEs are used in furniture and furnishings. Where
21 as the octa-BDEs are in electronics. The other major
22 class are the deca-BDEs and these are continuing
23 unrestricted.

24 So in this study, 204 houses of children with and
25 without leukemia had their vacuum cleaner dust sampled

1 twice. The first phase was between 2001 and 2007, and
2 then the second phase was 2010. So we have analyzed so
3 far 52 of these homes with two visits. And what we find,
4 again, with a quarter of the samples done, we don't see a
5 significant decrease in the penta and the deca-BDEs, but
6 we do see some decrease in the octa-BDEs in the dust.

7 So, at this point, we speculate could these
8 reflect different use patterns. For example, with a ban,
9 you don't go and change your sofa or carpets immediately.
10 But as we -- the turn around and turnover of electronics,
11 maybe newer electronics may have less BDEs, and maybe
12 that's what we see in the drop in the octas.

13 I should say now we also added in the methodology
14 additional BFRs, so next time we'll have data on not only
15 these PBDEs but other BFRs in the dust. We also found
16 evidence for deca-BDE debromination, being able to see the
17 dust and seeing all the -- deca can break down to nona and
18 octa substituted BDEs. And we see them -- their presence.

19 So again, with more and more evidence from other
20 researchers as well, that deca-BDE is not as thought or
21 has portrayed to be that it's indestructible and doesn't
22 breakdown. It does breakdown.

23 And Todd Whitehead from UC Berkeley did his
24 dissertation in our lab for this project is presenting and
25 has presented this material in conferences.

1 --o0o--

2 DR. PETREAS: And another benefit is that what we
3 learned from this study and we're learning, we will apply
4 with the firehouse dust, because we did collect 20
5 firehouses -- dust from 20 firehouses. And work is in
6 progress to measure PBDEs, PAHs, PCBs and some of the new
7 BFRs in the firehouse dust.

8 So these were our successes and how we can help
9 the Program, but we have many challenges. And I guess we
10 were naive, I was naive. We thought it would be much
11 easier and it's not.

12 So separate analysis are needed for separate
13 analytical groups. Even with the persistent organics,
14 again we take the same aliquot of small volume of serum.
15 We can extract it, but then we need different instrument
16 and different runs. One is to run the PCBs with the
17 pesticides, a second different run for the PBDEs, another
18 one for the BFRs and who knows out of our to do list for
19 other may require more. So we have -- it takes more time.

20 Also, we have very, very limited equipment
21 available to us. We only have one high resolution mass
22 spectrometer for all the persistent organics, and the
23 future analytes that will use this instrument.

24 We have one LC-MS/MS for the perfluorinated. And
25 this has to be dedicated, because we don't want to have

1 contamination with the Teflon containing machines. And we
2 have the second, the newest, LC-MS/MS that we are -- we'll
3 be using for the phenols and hydroxy-metabolites and start
4 to build more capacity and capabilities for the other
5 analytes. So this is a big challenge.

6 --o0o--

7 DR. PETREAS: Even bigger challenge is our staff.
8 In DTSC, we have in the biomonitoring section, we have 40
9 percent vacancy rate. So out of the 10 staff, four,
10 including the supervisor, are vacant. In addition, out of
11 the six filled positions, both of our two biomonitoring
12 funded staff are on leave. So essentially this year, out
13 of two of them, we get less than one person year work.

14 My last bullet, we have uncertainty in APHL
15 fellow. This will be the third year having this wonderful
16 fellowship. And she's leaving. And we just found out
17 yesterday that we won't get another fellow. Even though,
18 they're very happy with us. There's so much demand now,
19 and I can understand how they want to spread their support
20 to other states with biomonitoring. So we're really
21 hurting with staff shortages.

22 --o0o--

23 DR. PETREAS: And as Dr. Quint had asked before,
24 what's happening with the vacancies, our Department has
25 been very, very not open to any freeze exemptions so far.

1 So basically, it's how do we balance priorities?
2 Should we do method development or analyze samples? Given
3 that we have so limited staff, and given that we have so
4 limited -- it's a bottleneck with the instruments. You
5 either have a person work on the method development, which
6 is kind of uncertain, how long would it take, or you stop
7 them and you have them process samples. So we have to
8 balance and we're not always -- you know, our guesses are
9 not always the best.

10 And again, just to differentiate and say we are
11 committed to the Biomonitoring Program, but DTSC has other
12 work for us, so we may have capabilities and capacity for
13 work for DTSC funded projects, but not really available
14 for this Program. So we try to do our best.

15 --o0o--

16 DR. PETREAS: And this is our staff. You saw
17 them already. And to the left are our DTSC staff, to the
18 far right are the CDC staff and the APHL fellow and the
19 middle are our grant supported staff. But we're all very
20 dedicated and, you know, hopefully we'll have some
21 breakthroughs with staff vacancies and can be more
22 productive and present more to you in November.

23 So if you have any questions.

24 CHAIRPERSON LUDERER: Thank you very much. And
25 very impressive to see all the new methods development for

1 the brominated flame retardants. We're a little bit
2 behind schedule, but are there any quick questions from
3 Panel members before we move on to public comments and
4 then we'll have time for Panel discussion afterwards
5 again?

6 Dr. Bradman.

7 PANEL MEMBER BRADMAN: I just had one quick
8 comment, and maybe there's some discussion to follow up
9 this. The list of chemicals where you had no capabilities
10 yet, and there were kind of competing demands on the
11 instrument and challenges for different classes, different
12 methods, it underscores two things. Maybe we need to do
13 some prioritizing within that list to -- maybe that would
14 help, you know, the strategy to define, you know, which
15 compounds to do first and which we have the capability to
16 do.

17 It also kind of reminds me that for many of the
18 chemicals that are out in commercial use, we don't have
19 methods to measure them. And that's kind of a larger
20 issue that's a challenge for the Program, but it seems
21 that there should be some system out there when a chemical
22 is going out in the market that we have the capacity to at
23 least measure if people are being exposed to it.

24 DR. PETREAS: Is that a question for me or just a
25 comment?

1 PANEL MEMBER BRADMAN: That's a comment.

2 CHAIRPERSON LUDERER: Are there any other
3 clarifying questions?

4 Dr. Quint.

5 PANEL MEMBER QUINT: Not a clarifying question.
6 I just want to say I really appreciate the level of detail
7 that -- and that you are -- that all of you are working at
8 in order to make sure of, you know, sample preservation
9 and to research all of those things. As a former
10 laboratorian, I can really appreciate the importance of
11 it, and how helpful it will be in the long run to take the
12 time to really explore all of these different laboratory
13 methods.

14 And, you know, I just want to thank you for doing
15 that, in spite of having such a reduced staff to work
16 with. It's very, very impressive.

17 CHAIRPERSON LUDERER: Do we have any public
18 comment?

19 MS. DUNN: There are none through Email, but I
20 don't know in the room. I can't see.

21 CHAIRPERSON LUDERER: Any in the room?

22 No?

23 Okay. Then we have a few minutes for more Panel
24 discussion.

25 Dr. Solomon.

1 PANEL MEMBER SOLOMON: It seems like I heard
2 maybe three different ways that the Panel might
3 potentially be helpful here, but I'd love Dr. Petreas'
4 sense of those.

5 One is, there seemed to be a question about
6 whether to include some of the brominated flame retardants
7 for which methods have been developed, but which would
8 slow down the biomonitoring a bit if they were included,
9 whether those should be included at this point. I know my
10 opinion I think I would come down on the side of saying,
11 yes, if you've got the methods, yeah, these are priority
12 chemicals and we would love to see them included, even if
13 it would slow down the analysis of the samples. But I'd
14 be curious what other members of the Panel think.

15 And then I completely agree with what Dr. Bradman
16 said, and perhaps in the discussion later today, we're
17 talking about priority setting or should we talk now about
18 maybe some strategies for setting priorities within the
19 flame retardants that have -- for which there aren't
20 methods yet, because I think that might make a lot of
21 sense. It's a long list, and it seems crazy for the lab
22 to have to develop methods for each one of those if some
23 of them are a lot more important than others.

24 And so I'd love to be of help there. And then
25 the final issue is that perhaps the Panel might be able to

1 help, to some degree, with, you know, encouraging DTSC to
2 fill those, you know, or at least try to fill those
3 vacancies if they can get exemptions.

4 CHAIRPERSON LUDERER: Any other Panel comments?
5 Dr. Bradman.

6 PANEL MEMBER BRADMAN: I just had a follow-up.
7 And I agree with Dr. Solomon that there might be a way we
8 can be helpful in setting priorities among those flame
9 retardants where there is no methods.

10 Also, early on in the Panel discussions some
11 years ago, this came up around diesel exhaust, and whether
12 there could be a request for industry to actually help
13 develop methods. And I wonder if this might be a
14 situation where if there was a compound that we felt was
15 important for potential exposure for toxicological reasons
16 we could ask for some outside help, essentially, to move
17 that process along.

18 And maybe that's just a point to raise for
19 discussion among the Panel.

20 CHAIRPERSON LUDERER: Any others?
21 Dr. Quint.

22 PANEL MEMBER QUINT: Yes. Julia Quint.

23 I just have a question. When we're deciding or
24 trying to make a decision between methods development, as
25 Dr. Solomon mentioned, if the method is there, you know,

1 she thinks it's important to actually pursue it, versus
2 sample analysis. Because we have several projects like
3 the MIEEP project where, you know, some samples have been
4 analyzed, and then others have -- you know, we've analyzed
5 for certain things, but then others haven't.

6 And I think -- you know, I'm also supportive of
7 if the method exists and it's an important analyte, trying
8 to find a way to pursue it. But I wouldn't want to holdup
9 the results from some of these studies that we have in
10 progress, because it really is important for us to have
11 completed studies, to the extent that we can, to show what
12 we've accomplished in the Program.

13 We have haven't been able, as we know, to do the
14 larger representative -- you know, the larger sample. So
15 these smaller studies have been extremely valuable. And
16 getting through some of them to completion, I think, is
17 going to be very important to show how, you know, much
18 this Program has accomplished and the importance of the
19 accomplishment. So I would like to hear a little bit
20 more, if we decide to advise you, one way or the other, to
21 hear more about -- a little bit more detail about what
22 that choice would mean, in terms of completing some of the
23 studies like FOX and MIEEP, and those sorts of things.

24 And to weigh that, in terms of pursuing future
25 studies, you know, another study of firefighters or

1 something like that. But I think just completing the
2 analysis so we can get a picture of what we have in the
3 smaller studies is going to be very critical.

4 And then -- so that's sort of a statement, but
5 then the next question I have is I notice that with the
6 environmental phenols, that there is some -- that both
7 labs are measuring certain ones, like, I think, BPA and
8 triclosan are being measured both in the CDPH lab and in
9 the DTSC lab. And I'm wondering if they're being measured
10 in different media, you know, one blood and one urine or
11 what that's all about.

12 DR. PETREAS: Yes, DPH handles the urine and the
13 CDC methods are in urine.

14 PANEL MEMBER QUINT: Okay.

15 DR. PETREAS: The levels are higher in urine.
16 We're doing the blood, because we were -- when we're doing
17 the tetrabromobisphenol A, we could see bisphenol A. So
18 it's hard to include too, because in many studies we have
19 archived blood. We don't have archived serum -- archived
20 urine.

21 So there are many people who would like to see if
22 they can measure BPA in their archived serum. So that's
23 one advantage of -- and it was something that came along
24 as we did the tetrabromobisphenol A.

25 CHAIRPERSON LUDERER: Actually, I have another

1 question for you before you sit down.

2 Related to the -- I was really intrigued when you
3 were talking about these selected brominated flame
4 retardants that you've now developed methods for. And you
5 mentioned that you so far haven't seen them in any human
6 blood samples, but that you do see them in -- or they have
7 been described in environmental media, you know, raising
8 the question of are they not absorbed or is that they're
9 rapidly metabolizing, so you're risk measuring the wrong
10 thing and measuring the parent compound.

11 And I was wondering what the blood samples, you
12 know, were that you had measured them in so far? And also
13 kind of just thinking about whether there have been any
14 animal studies that have looked at the metabolism of any
15 of these compounds or whether that might be an area for
16 possible collaboration, you know, with researchers to try
17 to look at, you know, whether these compounds are taken up
18 and what the metabolism might be.

19 DR. PETREAS: Yeah. I can't speak for all the
20 compounds, but some animal data may exist, but doses are
21 very different. I know there are reports and publications
22 for environmental media, sediments, marine animals may
23 have it, dust. And up to the recent brominated flame
24 retardant conference in Boston where staff went and that's
25 where you find the latest thing in the corridor about

1 someone who's struggling with that, nobody has reported
2 these compounds in blood. So that's why we stopped and
3 said, if it's not just our problem, maybe they're not
4 there. But it could still be our problem. So I'm not
5 saying for sure. So we're still trying to make sure that
6 we don't overdo something.

7 CHAIRPERSON LUDERER: Maybe just one quick
8 follow-up question. Were these blood samples that you've
9 used so far recent or archived?

10 DR. PETREAS: Yeah. These are from our --
11 nothing from MIEEP or FOX yet, but these are from the
12 pilot for the teacher's study, some previous pilot data we
13 have. Some other occupational groups we have done. So we
14 have about a hundred or so samples. We also have blood
15 bank blood that we see and we don't see.

16 CHAIRPERSON LUDERER: Any other comments?

17 All right. This is just about the time that
18 we -- Sara

19 MS. HOOVER: I just wanted to respond to Dr.
20 Solomon's question about prioritizing within the list.
21 Obviously, we don't have time right now, but I have two
22 suggestions. One would be if you wanted to have a
23 preliminary discussion, I'm guessing we might have time,
24 you know, at the end. We have, depending on how many
25 comments we get for the open public comment period, we

1 might have a little time to take that up then if you
2 wanted to give some initial discussion. We could also
3 bring it back as an item at another meeting.

4 CHAIRPERSON LUDERER: All right. Thank you very
5 much.

6 If we don't have anymore comments at the moment,
7 we have -- the next item on our agenda was lunch. And so
8 we had scheduled an hour for lunch. It's right now by the
9 clock in the back of the room, I see is different than the
10 one on the side. But we'll say in one hour, so that would
11 be one o'clock we'll reconvene. And just I think Carol
12 Monahan-Cummings had a reminder for us.

13 CHIEF COUNSEL MONAHAN-CUMMINGS: Yes. It's not
14 nearly as applicable to this group as it may be to others.
15 But generally speaking, you're not supposed to talk about
16 items that are on the agenda that you are going to be
17 opining on outside of the room. So if you have discussion
18 at lunch please don't discuss items that are on the agenda
19 for this afternoon.

20 Thank you.

21 (Thereupon a lunch break was taken.)
22
23
24
25

1 activities. And they did a lot of the important work
2 gathering information for this presentation.

3 --o0o--

4 DR. KROWECH: So the current chemical selection
5 activities are both screening and preparing the document.
6 We are screening potential designated chemicals:
7 Organotins, which I'm going to talk about further a little
8 later, pesticides from the California Department of
9 Pesticide Regulation's top 100 pesticide list, and
10 emerging drinking water disinfection byproducts.

11 We're also preparing a document on
12 non-halogenated aromatic organophosphate flame retardants
13 as potential designated chemicals.

14 --o0o--

15 DR. KROWECH: So to discuss this screening table,
16 this is the table that I presented at the last meeting in
17 March. And we received a lot of Panel feedback about it.
18 The main feedback was that production volume can be a
19 misleading screening tool. Low volume chemicals can have
20 a significant toxicity -- can have significant toxicity
21 concern, and production volumes can change rapidly once
22 the chemical gets onto the market.

23 Also, the Panel commented that a check mark to
24 indicate toxicity is not sufficient, and that we should
25 include some indication of toxicity concern and the extent

1 of information available.

2 --o0o--

3 DR. KROWECH: The Panel wanted us to broaden
4 categories on persistence and bioaccumulation to be sure
5 to include when a chemical is very persistent or very
6 bioaccumulative, and not to forget about chemicals that
7 might not be persistent, but might -- we might be exposed
8 to continuously, so they would be pseudo-persistent.

9 The Panel suggested adding more components to the
10 screen - for example, likely routes of exposure, types and
11 numbers of products, additional physical chemical
12 properties and reference doses.

13 --o0o--

14 DR. KROWECH: So taking all of those comments and
15 suggestions into account, we revised the screen tool and
16 made several changes. One change that we decided to make
17 is to add a new category, "Reason for Concern". And this
18 allows us more flexibility as to why we're screening a
19 chemical.

20 And so some examples might be high
21 import/production volume or indications of toxicity, very
22 bioaccumulative or very persistent chemical, potential for
23 exposure or a substitute coming on the market.

24 --o0o--

25 DR. KROWECH: We also decided to expand the

1 toxicity information. So instead of the check mark, we're
2 going to have a descriptive phrase that will really be
3 dependent on the chemical. So it could be something like
4 no information found, multiple positive studies,
5 suggestive in vitro data, or structurally similar to a
6 known toxicant. And if we have information, we'll include
7 the toxicity endpoints.

8 --o0o--

9 DR. KROWECH: So to try out this revised
10 screening tool, we started to screen organotins. This
11 category includes butyltins, methyltins, octyltins,
12 phenyltins. For this example, we're just going to look at
13 a subset of butyltins, dibutyl, and tributyltins.

14 --o0o--

15 DR. KROWECH: This is a section of the table that
16 includes the reason for concern and use information. And
17 I just want to tell you that what I'm going to be showing
18 are sections are the screening table. What we envision in
19 the future is having the entire table available in a
20 handout. But for now, I'm going to show it in pieces.

21 So this has the reason of concern category. For
22 dibutyltins it's been found to be a developmental
23 toxicant, and there's exposure from consumer products.

24 For tributyltins, tributyltins is an endocrine
25 disruptor and a very persistent and very bioaccumulative

1 chemical. We retained the type of use compound type of
2 use category as last time. And we also added a products
3 applications category in response to Panel comments.

4 And so since dibutyltin is used as a PVC
5 stabilizer, it's found in many PVC products in flooring
6 and hand bags, also PVC water pipes, wallpaper, wine
7 corks.

8 Tributyltins, their primary use had been in
9 anti-fouling paint. That has been severely restricted.
10 And in 2008, the U.S. EPA restricted a number of other
11 uses by denying registration eligibility. Some uses that
12 are still eligible for -- some uses which still continue
13 are used in building materials, some consumer products,
14 such as use in foam and fiberfill is fine, rubber mats,
15 paper. And tributyltins are also used in livestock
16 facilities.

17 In terms of the production volume, this is the
18 production volume reported in 2006, so we have no idea
19 what it is today, but what was reported then varied by the
20 particular chemical. The highest was 1 to 10 million
21 pounds for each of them.

22 For dibutyltins we found 16 separate dibutyltins
23 compounds that reported production volume to U.S. EPA.
24 And four of those had production volume at 1 to 10 million
25 pounds.

1 For the tributyltins, we found three chemicals
2 that reported production volume to U.S. EPA, and two of
3 those had reported at 1 to 10 million pounds.

4 --o0o--

5 DR. KROWECH: So this section is persistence,
6 bioaccumulation, and other chemical properties. So what's
7 changed here from the previous table is now we're going to
8 be sure to note if a chemical is very persistent or very
9 bioaccumulative. And we're including vapor pressure and
10 water solubility.

11 I've put in several dibutyltins and tributyltins
12 just to give you the idea -- the flavor of some examples.
13 What's in blue text is an estimated value. And one of the
14 challenges in looking at a class of compounds is the
15 question of how many do you really need to go through to
16 get a sense of the properties of the particular come --
17 particular class.

18 The vP and vB in bold are summary conclusions in
19 a report for -- prepared for the EU. So if we're looking
20 at a class of compounds, this might be one way of handling
21 that question, looking at conclusions from reports from
22 different bodies.

23 --o0o--

24 DR. KROWECH: And as I mentioned before, we
25 expanded the toxicity section, so we have the descriptor

1 and endpoints. And in both cases, there are multiple
2 studies. There's a lot of information. I mentioned for
3 dibutyltins, neurotoxicity, and for tributyltins,
4 endocrine disruption. Each of them is also an
5 immunotoxicant. And tributyltins are also developmental
6 toxicants. And there's been a lot of new work talking
7 about looking at tributyltin as an obesogenic compound.

8 --o0o--

9 DR. KROWECH: This section on environmental and
10 biota samples and biomonitoring studies hasn't changed at
11 all from the previous table. I just want to point out a
12 few parts of this or a few items on it.

13 One, is the house dust, one study looked -- was a
14 New York study and looked at organotins and found very
15 high levels of dibutyltins and levels of tributyltins.
16 The levels of butyltins were markedly higher than the
17 tributyltins. And also we decided to put food in this
18 category. We weren't sure where to put it. But in this
19 case, it was important, so its exposure is particularly
20 from fish and shell fish. So we put it here. In the
21 biota samples it's also listed, and you can -- basically,
22 fish from all over the world have been contaminated by
23 tributyltins and dibutyltins.

24 I wanted to mention one other thing about the
25 house dust, which I skipped over, which was this was a New

1 York study. There have been several house dust studies in
2 Europe. And the levels in the New York study were
3 markedly higher than the European studies.

4 Also, in terms of the biota, there's a study on
5 the California sea otter, which I thought was interesting
6 for us. And again, that -- the levels in the California
7 sea otter were higher -- the sea otters found off the
8 coast of California -- or on the coast of California were
9 higher than other locations where they looked at sea
10 otters, including Washington State and Alaska.

11 The authors of this paper were able to do a time
12 trend and look at levels between 1992 and 2002. And they
13 had gone down slowly, but significantly. They also
14 concluded that there was ongoing exposure to tributyltin
15 though.

16 In terms of human biomonitoring studies, there
17 are very few. There is one study in Michigan that found
18 dibutyltin in 80 percent of individuals tested, and
19 tributyltin in 70 percent of individuals. It was in the
20 parts per billion range.

21 --o0o--

22 DR. KROWECH: There were some challenges then
23 with this screening tool, as we've just done it, is the
24 limited information that might be out of date. And the
25 production volume is just one example of that.

1 Certain type of information is not included in
2 the screen. And we could -- I mean, we've added more. We
3 could continue, but we're trying to keep it as -- filled
4 with enough information, but to keep it as a screen. So
5 that seems to be hard to decide where to limit it.

6 And then there are -- with a screen, it's
7 difficult to indicate complexities and uncertainties. And
8 with a class of compounds, that's one obvious way, but
9 I'll give you a couple other examples.

10 One is that tributyltin is a contaminant of
11 tetrabutyltin. Commercial tetrabutyltin contains about 15
12 to 30 percent tributyltin. So tetrabutyltin is used to
13 produce the other butyltins, mono and dibutyltin, which
14 are used as stabilizers for PVC production.

15 So you get a lot of exposure where you can't put
16 things in neat compartments. And another factor is that
17 dibutyltin is a metabolite of tributyltin, so it's hard to
18 know where things are coming from.

19 --o0o--

20 DR. KROWECH: So given that, we have questions
21 that we want to ask the Panel about the screening approach
22 and about the organotins. One is what are the highest
23 priority categories, because we could keep expanding this.
24 We kind of would like to get a definite idea of what we
25 really need to be doing. And how much detail is needed in

1 DR. KROWECH: And in terms of the organotins with
2 this idea in mind, we'd like your input on should we move
3 forward with these chemicals? And if we should, should
4 the program develop more screening information on
5 butyltins, more on dibutyl and tributyltins, should we
6 include monobutyltins? Should we screen information on
7 additional organotins, such as octyltins, which were also
8 really high in this house dust study? Or should we
9 develop a potential designated document on a narrow class,
10 such as dibutyl or tributyltins, or should we develop a
11 document on a broader class, such as butyltins?

12 So I'm happy to answer questions and turn it over
13 to Dr. Luderer for discussion.

14 CHAIRPERSON LUDERER: Thank you very much.
15 You've really come back and responded, I think, to a lot
16 of Panel member comments from the last presentation.

17 Do Panel members have additional questions?

18 Dr. McKone.

19 PANEL MEMBER MCKONE: Thank you. That was very
20 interesting, and I do appreciate, I think all the Panel
21 here does, of providing some of the additional
22 information, like seeing the uses and market.

23 I have one real quick question, how do you get
24 this out of liver? Did you say one of the issues was
25 liver or was that cadaver? Human liver was one of the --

1 DR. KROWECH: Oh. You know, I actually looked at
2 the study. I can't really remember right now, but I think
3 they were biopsies. They were taking a biopsy in there.

4 PANEL MEMBER MCKONE: All right.

5 DR. KROWECH: And it was in all -- dibutyl was in
6 all samples, by the way.

7 PANEL MEMBER MCKONE: So on some of the
8 questions -- the other thing is the idea of the adaptive
9 strategy is probably a really good one when you're not
10 quite sure.

11 So this question of I think -- your overarching
12 question is like, so what, right?

13 I mean, so we see it and what does this mean, and
14 how do we know if it's important or not? And I think in
15 addition to the biomonitoring, your question is are we
16 watching something on an upswing, steady state, or a
17 downswing, right?

18 And I think any information that would help
19 understand this -- I mean, if we're -- if what we're
20 seeing in humans is only the beginning of a rising trend,
21 then it's probably -- even if it's relatively small
22 relative to toxic endpoints, you'd be concerned about what
23 the slope, what's the rate of change in time.

24 And given that these are persistent compounds,
25 right, they were either persistent or very persistent in a

1 lot of the ranking. They can -- we can start to see a
2 trend where they're really rising.

3 So I guess the things that would hope understand
4 that, which appear to be hard to get, is the market trend.
5 I mean, what is the production volume, and where is that
6 going? I think with any of these substances, when there's
7 a major new use that suddenly will rise up and whatever we
8 have now doesn't mean anything, because it's what's going
9 to be there. So that's one thing to try and do to get a
10 little better handle on the market.

11 And then I think the other one would be to look
12 at some of the dynamics in the environment. I mean, there
13 are some of these mass balance models, which actually will
14 predict the trends in the environment. And you can see
15 how the environment looks relative to what they predict to
16 sort of figure out this -- whether the system is
17 stabilizing or just on the upswing.

18 I mean, that might -- just some suggestions off
19 the top of my head for -- you know, the one thing that's
20 probably easy to get, easier to get than the modeling,
21 would be to try and look at the market trend, because
22 that's an underlying issue. But then you can use some
23 modeling to see whether the world looks like -- modeling
24 combined with some of the monitoring data to see if it
25 makes sense, of whether there's an upswing or a downswing

1 or a steady state world that we're seeing in the samples.

2 DR. KROWECH: Okay.

3 CHAIRPERSON LUDERER: Dr. Solomon.

4 PANEL MEMBER SOLOMON: Thank you. That was a
5 very helpful presentation. And I have some questions
6 about your questions to us.

7 DR. KROWECH: Good.

8 PANEL MEMBER SOLOMON: You ask what are the
9 highest priority categories. SO you mean of the different
10 rows in the table, which ones do we think are most
11 important to fill-in as a priority? And then in terms of
12 how much detail is needed, I guess my question back is,
13 you know, personally I kind of like the level of detail.
14 I sort of felt like you got it right with the organotins,
15 but how onerous was that? Like was that a big, you know,
16 large amount of staff time that went into doing that? Is
17 that doable for other chemicals? Or should we -- you
18 know, should we be having a discussion about could we, you
19 know, get away with less?

20 So, you know, I just want to know if we're asking
21 if it's doable what we were asking here?

22 DR. KROWECH: I think if we tried to look at it
23 in terms of a couple of categories and try to get the
24 details on those, that would be manageable. But again, if
25 we had a list of pesticides, it would be, you know, more

1 time consuming to try to fill all of that out.

2 So, this -- I mean, maybe there wouldn't be
3 enough information, so that might solve that problem. But
4 I think with the biomonitoring studies, you know, we could
5 fill it out. I mean, it's hard to know what it means when
6 it just says blood or breast milk. So I agree that it's
7 good to have information, but if we need that in all
8 categories, it's hard to put that on the table.

9 So I guess do we envision this as just a
10 continuous presentation, that would be one way of looking
11 at it, is that they would always be presented in --
12 because otherwise putting all those details in a table
13 doesn't seem really easy.

14 CHAIRPERSON LUDERER: You want to follow up?

15 PANEL MEMBER SOLOMON: Yeah just a follow-up. So
16 like -- I mean, what I find useful about just having blood
17 or breast milk in the table is then I know that there's at
18 least one publication out there in which somebody has
19 developed a method for this in that medium, and presumably
20 has detected something. I guess if they developed the
21 method and failed to detect anything in any samples, that
22 would probably be worth noting.

23 But it -- what that just -- you know, so at that
24 level, I think, you know, from my perspective just having
25 blood or breast milk or urine or something in the table is

1 very helpful.

2 And, you know, I could see if we wanted to -- you
3 know, we might, in certain circumstances, want more
4 information or it would be useful to have a sense of, you
5 know, the percent detects or something like that.

6 DR. KROWECH: Okay. I guess another question
7 that I forgot to mention was what about negative studies?
8 You know, if I only put the positive studies in there, you
9 know, what about a negative study?

10 So, in this case, there was one negative study.
11 And the location was very different, but I'm just, you
12 know, trying to weigh all of this. How much do we fit
13 into a screen? Because obviously with a document, you go
14 into all of this.

15 CHAIRPERSON LUDERER: Dr. Quint.

16 PANEL MEMBER QUINT: Julia Quint.

17 I think some of this is going to -- the green
18 light is on. Can you hear me now?

19 Julia Quint.

20 I think some of it is going to be judgment on
21 your part, which I trust implicitly, given your experience
22 with these things. And I think what you've presented here
23 is -- I mean, I can look at this and tell right away
24 whether or not I'm interested in pursuing certain things.
25 There are some questions like when you ask about octyltin,

1 I would like to know more about structure activity
2 relationships within these chemicals and whether or not,
3 you know, having the butyls and -- or, you know, more
4 carbons on the tin would make a difference. Those kinds
5 of things.

6 But I think those are the same questions you
7 would be curious about. And those could be captured in
8 some sort of comment annotation on the table, as
9 opposed -- you know, which would vary by chemicals. For
10 pesticides, I'd be much more interested in use in
11 California, as opposed to some of the other use data,
12 because, as you said, it's changing and the trends that
13 Dr. McKone talked about are going to be more important.

14 But, you know, the basic questions are is there a
15 toxicity of interest, potential for exposure? I mean,
16 some of the constants if they aren't there, I think that's
17 helpful. But, you know, if it takes a lot of time to
18 pursue those, I would be less interested.

19 But what they're in. You know, if something is
20 in a rubber mat that's used outside versus PVC flooring
21 that's inside, that's going to tell me something very
22 different about potential for exposure.

23 So I think your iterative process, your proposal
24 is right on the mark, in terms of using judgment. And we
25 are going to eventually want to biomonitor. But at first

1 we're going to designate and then decide whether or not we
2 can move forward in terms of methodology.

3 So having some of the -- I mean, if there are
4 data as Dr. Solomon said about whether or not somebody has
5 published something in blood or breast milk or whatever it
6 is, that's very helpful, but it's not going to really be
7 definitive in terms of us maybe designating it as
8 something that we'd want to look into further.

9 I think not spending a lot of time on every
10 chemical in the class, like all the different compounds, I
11 don't think that that's warranted before we, you know,
12 just check in on the significance of it from your initial
13 screen.

14 But, you know, I think time, how much time you
15 spend on it is really -- we don't want to use all of your
16 time on these things, because it really is a screen.

17 CHAIRPERSON LUDERER: Any other comments or
18 questions from other Panel members?

19 We might take public comments now and then we'll
20 have more time for discussion after that.

21 Do we have any public comments?

22 MS. DUNN: Anyone in the audience?

23 I have one.

24 CHAIRPERSON LUDERER: Okay. We have one public
25 comment that came in via the web. This is from --

1 The green light is on.

2 Okay, can you hear me now?

3 This is from Cheriell Jensen.

4 And the question is, "I did not see RoundUp
5 glyphosate or POEA on the list of chemicals to be tested
6 for. As (these) is (are) probably the most common
7 environmental chemicals used today, why is it not on the
8 list?"

9 And I'm not sure whether she's referring to maybe
10 the designated or priority chemical list. It just says
11 list of chemicals to be tested for.

12 MS. HOOVER: Everyone seems to be looking at me.
13 Could you repeat the list of chemicals?

14 CHAIRPERSON LUDERER: The chemicals mentioned are
15 RoundUp, glyphosate, or POEA.

16 MS. HOOVER: I guess --

17 CHAIRPERSON LUDERER: PFOA must be. PFOA.

18 MS. HOOVER: I guess the way I'll respond to that
19 is that, I mean, I know that -- and turning to Gail --
20 glyphosate is in our list of things we're screening. You
21 know, so we're aware of glyphosate. If it's PFOA, it's a
22 perfluorinated compound, so it is -- that is on the list.

23 So I think we would -- Gail did you want to --

24 DR. KROWECH: We are screening glyphosate. And I
25 believe that RoundUp -- that glyphosate is in RoundUp.

1 MS. HOOVER: Yeah. So, you know, we'll just --
2 we're happy to take any comments on candidates we should
3 screen, and we'll add them to the list that we're
4 screening.

5 PANEL MEMBER MCKONE: Apparently, it's an inert
6 ingredient in --

7 MS. HOOVER: Pull it closer to you, Tom.

8 PANEL MEMBER MCKONE: Closer. POEA -- okay, I'm
9 looking. Inert ingredient in RoundUp. Let's see, POEA is
10 a surfactant or detergent arrived from animal fat. It is
11 added to RoundUp and other herbicides to help them
12 penetrate plants. Associated with acute and chronic
13 effects.

14 Here's what it is, polyethoxylated tallowamine
15 surfactant.

16 All right. So it is an element. Glyphosate and
17 POEA are both elements of RoundUp, according to this or
18 components of RoundUp.

19 MS. HOOVER: Okay. Sara Hoover again, OEHHA. So
20 we'll include looking at that as part of the screening of
21 pesticides that we're doing.

22 CHAIRPERSON LUDERER: Okay. Thank you.

23 So if we have no more public comments, then we
24 have more time now for some additional Panel discussion on
25 this topic.

1 PANEL MEMBER BRADMAN: Asa Bradman. I'm just
2 wondering if maybe in addition to this tool would be if
3 there's a benchmark dose or point of departure available
4 by any federal or State agency, that it might be worth
5 including that. There was, you know, some tox information
6 on whether there were positive studies or negative
7 studies. I know for many chemicals we don't have those
8 references and there can be issues within that said. They
9 provide maybe some means to compare compounds.

10 Also, if we have some information on use, you
11 could, you know, take the inverse of the benchmark dose
12 and multiply it by the use and you get some way of ranking
13 the potential risk out there of the material. Although,
14 of course, that doesn't account for actual exposure. But
15 it might be another tool that would be helpful to flesh
16 out the table.

17 DR. KROWECH: I just wanted to say that there was
18 a reference dose available. I didn't include it,
19 because -- well, first of all, because I couldn't really
20 include everything, but it was based on immunotoxicity.
21 And so it's older. And then now there are, you know, more
22 concerns with endocrine disruption and developmental and
23 neurotoxicity. So, you know, I didn't know how useful
24 that would be.

25 PANEL MEMBER BRADMAN: Right. So many of these

1 benchmarks are going to have some limitations.

2 CHAIRPERSON LUDERER: I just have a clarifying
3 question about one of your questions again, which was is
4 your last question about the flexible iterative approach
5 kind of instead of the screening table or are you thinking
6 of this in addition to the screening table?

7 DR. KROWECH: Well, we're thinking of it more as
8 part of the screening table, that we would bring you a
9 table and then you might say I'd like more information on
10 these kinds of studies, and then the next time we'd bring
11 that table back with that, and we could maybe just discuss
12 that information.

13 CHAIRPERSON LUDERER: Okay. Thank you for
14 clarifying that. I thought that's what it was, but I
15 wanted to make sure that I was understanding that right.
16 And I want to just agree with what the other Panel members
17 have said. I mean, I think that the level of detail that
18 you have in the screening table now really does provide us
19 with a lot of information that I think is, from my
20 perspective, I think sufficient for us to be able to then
21 come back in an iterative approach and ask for maybe
22 additional information about specific compounds or
23 specific additional details for chemicals.

24 Dr. Solomon.

25 PANEL MEMBER SOLOMON: With regard to the more

1 specific questions on organotins, you know, I see enough
2 of concern in what you've provided to us today that I
3 would be very interested in proceeding with a potential
4 designated document.

5 I'm having trouble answering your question about
6 whether to make it a more narrow class or to broaden to
7 include all of the butyltins, and would be open to either.
8 I don't have a good sense of what other -- you know, you
9 mentioned octyltin. And so you may know more than we do
10 about how -- what makes most sense.

11 But if these different butyltins are sort of
12 breaking down into each other, it might make most sense to
13 look at them as a larger group. And so I guess I'd be
14 leaning slightly toward the broader class, unless there's
15 some reason not to.

16 And in my view, one of the more important
17 questions to answer is, you know, since some uses of
18 tributyltins have been phased out, and there's some
19 indication that you mentioned from some of the studies
20 that levels may be declining in the environment, you know,
21 I think a lot, for me, is going to be hinging on, you
22 know, if these chemicals are sort of -- you know, if
23 they're going away, I'm going to be less excited about,
24 you know, putting resources into biomonitoring for them,
25 versus if they're still very much present or maybe certain

1 butyltins or potentially their uses may be increasing,
2 that will make me much more interested in putting
3 resources in to biomonitoring them. So I think that, you
4 know, summarizes my thoughts on the organotins.

5 CHAIRPERSON LUDERER: And I actually was -- I am
6 going to agree with a lot of what Gina said. But in terms
7 of narrow versus broader, I mean, another -- I mean even
8 broader would be organotins obviously. And maybe -- I'm
9 not sure if I'm remembering this correctly, but don't
10 triphenyltin have similar obesogenic effects as
11 tributyltin. So that may be an argument for broadening it
12 even further.

13 Any other comments or questions from Panel
14 members?

15 Dr. Quint.

16 PANEL MEMBER QUINT: Julia Quint.

17 You've been so great at looking at emerging
18 chemicals that are replacements for things that have been
19 deemed toxic. And I'm wondering if there's been any -- if
20 you've seen anything that looks like it's replacing the
21 tributyltin as a biocide or something that we should just
22 keep our -- not, you know, look at in any robust manner at
23 this point, but just keep an eye toward replacements, you
24 know, substitute chemicals that might be just as toxic or
25 toxic in a different way, because that's always a problem

1 It looks like the dibutyl is not. They're
2 totally different uses of those two chemicals, so that's
3 not being used in its stead, but there may be something
4 else on the horizon that we should be paying attention to
5 in that category.

6 DR. KROWECH: I haven't seen anything yet. But
7 one thing is the EU has started to severely restrict the
8 dibutyl. And so in 2012 there's going to be, you know,
9 severe limits on how much there can be in certain
10 products. So I'm not sure what it is, 0.1 percent. So
11 there will definitely be substitutes coming on the market.

12 PANEL MEMBER QUINT: And that's going to affect
13 the market here?

14 DR. KROWECH: Yes.

15 CHAIRPERSON LUDERER: Have we addressed your
16 questions initially?

17 DR. KROWECH: (Nods head.)

18 CHAIRPERSON LUDERER: Okay. Great. Then we can
19 move on to the next presentation. And Sara Hoover Chief
20 of the Safer Alternatives Assessment and Biomonitoring
21 Section of OEHHA will introduce our next speaker, who is
22 going to be Dr. Roy Gerona.

23 MS. HOOVER: Okay. I just first wanted to say
24 that the reason we're doing this item is partly because of
25 the Panel's interest in screening unknowns, but also the

1 Program's interest. So we're all very interested in this
2 concept. And Dr. Solomon had introduced me to Dr. Gerona.
3 And I've heard him speak and was eager to bring him to the
4 Panel so you could hear him as well.

5 So Dr. Gerona, I'm just going to give you a
6 little background. Let get my glasses on.

7 Dr. Gerona got his Ph.D. at the University of
8 Wisconsin, Madison in biochemistry. And he's now a
9 post-doctoral fellow in clinical chemistry at the
10 University of California, San Francisco in a joint program
11 with SF General Hospital.

12 And his research is focused on exploring clinical
13 applications of TOF LC-MS analysis and with a current
14 focus on a number of projects, including developing serum
15 test panels targeted to respond to drug overdose cases
16 presented to emergency and trauma centers. He's also
17 going to comment briefly on a new project he's working on
18 in using non-targeted TOF LC-MS methods for discovering
19 unreported or underreported environmental toxins in
20 pregnant women.

21 And he's also facilitated the implementation of
22 providing toxicological consultation and analysis to
23 emergency toxicology cases referred to the SF Division of
24 the Poison Control Center.

25 So I want to hand it over to Dr. Gerona.

1 (Thereupon an overhead presentation was
2 Presented as follows.)

3 DR. GERONA: Good afternoon, everyone. First of
4 all, allow me to thank Sara and Gina for inviting me over
5 to share with you some of the exciting things that we're
6 doing at the San Francisco General Hospital Toxicology
7 Lab, specifically with respect to non-targeted screening
8 of biological samples for environmental contaminants.

9 Unfortunately, we have just gotten -- well,
10 fortunately probably, we have just gotten funding for this
11 project. And so I and my collaborators are, I think, not
12 yet ready to divulge a lot of the initial information that
13 we're getting for this particular project.

14 As you might have probably noticed in the slides
15 that I have forwarded, we have only allotted one slide for
16 the actual project. We have some pilot data, but we would
17 wait for the confirmation of the data that we have gotten
18 so far before we can report it publicly. So we will be
19 happy to come back and report to you once we have actually
20 gotten confirmation of that data.

21 So what I will do this afternoon basically is to
22 discuss the concept that is involved in this kind of novel
23 approach for environmental screening. I think this has
24 never been done on environmental contaminants in
25 biological matrices. So a lot of the slides I would tell

1 you in advance are more geared towards the laboratory part
2 of it.

3 I would be happy to address some of the questions
4 that you might have regarding the technique once the
5 presentation is over.

6 --o0o--

7 DR. GERONA: All right. Just to give you a guide
8 as to how my presentation will proceed this afternoon. I
9 will first give you a very simple rationale for
10 non-targeted analysis, why it is a very good alternative
11 to the targeted screenings that we're all doing in
12 environmental biomonitoring.

13 Then I will devote a lot of time explaining to
14 you the basic principles involved in time-of-flight mass
15 spectrometry, that's what TOF stands for, and how it can
16 be used for non-targeted screening.

17 I will give an example of a TOF analysis of
18 environmental pollutants as proof of principle that this
19 has been already done in other environmental samples. And
20 the data for this particular study has been published in
21 the literature. It hasn't been done yet on biological
22 matrices though. And that's what we're trying to do.

23 And then I have one slide on the TOF analysis of
24 environmental toxins in biological matrices that will
25 introduce to you the project that we are doing with Tracey

1 Woodruff's group at the Program for Reproductive Health
2 and the Environment at UCSF.

3 --o0o--

4 DR. GERONA: Well, so why is it beneficial to do
5 non-targeted analysis?

6 As you're probably all aware of, there are more
7 than 3,000 industrial chemicals produced and imported in
8 this country in over one million pounds a year. However,
9 there are roughly about 300 chemicals that are being
10 biomonitored by targeted analysis. So what happens to the
11 2,700 other chemicals that are being produced?

12 Well, we can systematically target them for
13 analysis by targeted analysis, but will that be practical?

14 Targeted analysis will always require a reference
15 standard for a particular compound. When you develop a
16 method, you need a reference standard. For some of
17 this -- actually, not some, but a lot of these compounds,
18 having the reference standard available commercially is
19 usually next to impossible. Add to that the problem that
20 in a lot of these environmental toxins, it's not the
21 parent compound that you'll find in the biological matrix
22 but the metabolite of that particular parent compound.

23 So looking for reference standards for this
24 particular other compounds that are not being biomonitored
25 yet may be, one, costly. And if you're going to

1 systematically analyze 2,700 or more compounds, that will
2 be very time consuming.

3 So a very good alternative then is to do
4 non-targeted screening of environmental toxins in
5 biological matrices and then follow that up with
6 quantification of those particular toxins that would be
7 found at high frequency in the subjects in a particular
8 study.

9 The required analytical platform for this kind of
10 approach is time-of-flight mass spectrometer. So what is
11 TOF mass spectrometry?

12 --o0o--

13 DR. GERONA: Simply put, this is an analytical
14 technique based on the separation of molecules according
15 to their charge to mass ratio. And if you are only
16 screening for compounds that has plus 1 as charged, then
17 this is a separation based on molecular weight. This
18 particular technique involves ionization of molecules, and
19 then sorting them out according to their mass.

20 There are several types of analytical platforms
21 that can be used for TOF mass spectrometry, based on the
22 type of ionization technique and based on detection. The
23 more common ones are those that require soft ionization,
24 like electrospray ionization, and atmospheric pressure
25 chemical ionization. That's EIS/APCI TOF-MS. There's

1 also a more advanced or higher upgrade of platform called
2 QTOF-MS. Of course, everyone here might already be
3 familiar with MALDI-TOF that's used for larger molecules
4 like proteins. And then another upgrade to the TOF, which
5 is very good at high resolution mass spectrometry is the
6 OrbiTrap.

7 This is not a new technique. The first mass
8 spectrometers with TOF analyzers appeared in the 1950s. I
9 guess the main limitation as to why they have never been
10 used for non-targeted analysis is the ability of these
11 older generation machines to actually accurately measure
12 masses. So the older generation ones have low resolution,
13 and they cannot accurately measure molecular weights.

14 With the advent of great improvements to the
15 resolution and high mass accuracy, it became possible for
16 TOF-MS platforms to actually unambiguously assign
17 molecular formula to a molecular -- measured molecular
18 weights. And that's where this technique actually hinges
19 on, as far as non-targeted analysis. I would discuss that
20 a little bit in more detail in the next slides.

21 --o0o--

22 DR. GERONA: In order to increase the level of
23 resolution for most of this TOF-MS machine, they're
24 usually used in tandem with chromatography. That's either
25 LC or GC.

1 --o0o--

2 DR. GERONA: So in simple terms, this is what is
3 actually involved in this particular analysis. So
4 normally, because every machine is linked to an HPLC or
5 GC, the eluent that's coming out of an LC or a GC machine
6 are then fed on to the first part of the machine, which
7 basically generates the ions.

8 Okay. Those ions are then fed onto a mass
9 analyzer, which separates those particular ions according
10 to their masses. And then it's detected using an ion
11 detector, which is usually using scintillation counting as
12 its basic principle. What you'll get as a readout is the
13 mass spectra of a particular known.

14 As you can see there, it's basically just the
15 measured molecular weight versus the abundance of that
16 particularly ion.

17 --o0o--

18 DR. GERONA: So what's the basic principle for
19 time-of-flight mass spectrometry? It starts with the
20 ionization of the molecules. Once the molecules are
21 ionized, it's then fed onto what's called the time of
22 flight tube.

23 Oh, sorry.

24 So this is the time of flight tube where the
25 separation happens. And the principle is simple, all

1 time-of-flight of each of these ions that actually are
2 measured.

3 --o0o--

4 DR. GERONA: So I mentioned earlier that the
5 accuracy of the measurement is based on what's called the
6 mass error, right? So you would hear people in the TOF-MS
7 community ask you about how many ppms is your measurement
8 accurate?

9 Well, mass error is basically a quantification of
10 the difference between the measured mass, okay, by the
11 instrument and the theoretical mass of a particular
12 compound.

13 Here I have, as an example, 2 ppm. So what does
14 2 ppm mean? Well, because as you can see in the equation,
15 molecular weight is in the denominator of this equation.
16 Two ppm would depend on the molecular weight of the
17 compound you're measuring. For a 100 atomic mass unit,
18 that means that the accuracy of the measurement is up to
19 the 0.0002 amu.

20 So most of the organic compounds that we see in
21 the environment are actually in this particular range. So
22 it's fair to say for most MS, TOF-MS platform that the
23 accuracy is up to the fourth decimal place.

24 --o0o--

25 DR. GERONA: What's the importance of that as far

1 as assignment of molecular formula to the measured mass?
2 Here's an example of isobaric compounds. Isobaric
3 compounds are compounds with the same nominal mass. You
4 can see they are both 285.

5 If you're using ordinary LC-MS/MS, the Q1 scan or
6 the scan of the parent ion here will not allow you to
7 distinguish between morphine and pentazocine. They're
8 both 285, and the resolution is only up until about that.

9 But the ability of TOF-MS to actually measure up
10 to the fourth decimal point with differentiate two
11 molecules. Although, they have the same nominal mass.
12 One is measured at 285.1365, and the other one will be
13 measured 285.2093.

14 I have here another example. If say you have a
15 molecular weight that was measured by a machine at
16 285.1365, if the resolution is at nominal mass, okay, like
17 what the Q1 of LC-MS/MS -- most LC-MS/MS have, there will
18 be potentially hundreds of formula that will have -- will
19 share the same molecular weight.

20 At 10 ppm accuracy, assuming that you have only
21 carbon, hydrogen, oxygen, and nitrogen, that's down to
22 five possible formula. And as you can see here at 3 ppm
23 accuracy, only one possible molecular formula can be
24 assigned to that particular mass. So the principle is
25 simple.

1 I mentioned that most platforms can actually
2 achieve sub 2 ppm accuracy.

3 --o0o--

4 DR. GERONA: What is the generic protocol for
5 these kinds of analysis?

6 The first step in this kinds of analysis is to
7 actually measure a full scan mass spectra of the sample
8 obtained. So for targeted analysis, you usually measure
9 particular masses. All right, you have transition that
10 you measure.

11 In this particular technique, you have a full
12 scan mass spectra of everything in your sample. Then an
13 algorithm that usually comes with the instrument will
14 generate the best fit -- sorry, I have gone ahead of my
15 slide.

16 So an algorithm generates all the masses measured
17 with a specific retention time of that particular mass
18 that was measured. Then another algorithm that usually
19 comes with the machine will assign the best fit formula to
20 that particular mass.

21 Now, to give more information to what you have as
22 molecular formula, you need to be able to identify what
23 that formula represents. And this is where the targeted
24 part of the non-targeted analysis comes in. In order to
25 generate a compound that would fit that formula, you need

1 to create a database that would have the formula of all
2 the compounds that are possible that you're interested in.

3 Say, for example, if you have environmental
4 toxins, you can have a list of 3,000 environmental toxins,
5 even without reference standards, just a listing of the
6 3,000 environmental toxins, and their formula. The
7 platform will compute the exact mass of that. So what the
8 machine will do is actually to fit what particular
9 environmental toxin would have that formula that it's
10 measuring.

11 Now, does that give you a confirmation right
12 there?

13 No. To confirm your results, this is where you
14 would actually need a reference standard for those high
15 frequency chemicals that you then have measured in your
16 non-targeted analysis.

17 So basically, this will guide your analysis.
18 It's not totally non-targeted, but it at least guides you
19 to where -- which particular compounds to look, because
20 those are potentially in your samples.

21 What's also very good in this particular approach
22 is that as you can see here, step 1 is full scan mass
23 spectra. Say, for example, now in 2011 my environmental
24 toxin database has only 3,000 chemicals. In 2015, for
25 example, I've add 3,000 more to my database. Because I

1 have actually collected full scan mass spectra in 2011, in
2 2015, if I have a larger database, I can just look back at
3 the data without running the sample again that I've
4 generated in 2011 and ask was that compound in the sample
5 in 2011?

6 So it gives you so much power as to how much data
7 you can actually mine from the first -- from the first
8 step in this particular process.

9 --o0o--

10 DR. GERONA: So here's a demonstration of what
11 you can do. So the first set of information that this
12 particular machine provides is a paired retention time and
13 mass, and that -- this is a particular sample that we
14 obtained for a patient that is in polypharmacy, and we're
15 trying -- this was referred actually to us by the Poison
16 Control Center. And we're trying to figure out what
17 particular drugs is this patient -- has this patient
18 taken.

19 So this is just a collection of the masses and
20 the retention time that has been measured in the sample.

21 --o0o--

22 DR. GERONA: In order to generate more
23 information in this collection of retention time and
24 masses, we generated the best fit formula to the mass. So
25 the mass column here, these are the best fit formula.

1 And then we run a database -- we have a forensics
2 database that contains 7,000 pharmaceuticals and
3 pesticides.

4 --o0o--

5 DR. GERONA: And these are the matches that we
6 obtained for this particular patient. All right. So this
7 patient is obviously in polypharmacy. They have
8 methadone, levamisole, oxycodone.

9 --o0o--

10 DR. GERONA: Sometimes in the literature, you
11 will see QTOF instead of TOF. Well, what is that?

12 I've already mentioned that this is an upgrade of
13 the TOF. QTOF refers to quadrupole time-of-flight mass
14 spectrometry. And what it simply means is that there is
15 an addition of a quadrupole in front of the TOF analyzer.

16 The quadrupole actually allows you to select a
17 particular mass, so that if you're interested in a single
18 molecule, okay, you can fragment that molecule and also
19 measure the exact mass of its daughter ions. What's the
20 utility of this particular ability to measure accurate
21 masses of both the parent and the daughter ion?

22 Well, it allows structure elucidation of
23 unknowns. Say, for example, you have obtained a compound
24 that's high frequency, but there's no reference standard,
25 another way of doing it is to do structure elucidation.

1 And QTOF has the ability to do that as well.

2 So as I told you, this is not a novel approach as
3 far as environmental pollutant screening is concerned.
4 The first publication came out of Netherlands where they
5 actually used the approach in wastewater. And so they
6 have tried identifying contaminants in this particular
7 environmental sample.

8 The approach has also been applied to food --
9 sorry -- the food and pharmaceuticals. And lately given
10 higher grade mass spectrometers, like OrbiTrap mass
11 spectrometry has also been used.

12 How am I doing on time?

13 I have five minutes.

14 Okay.

15 --o0o--

16 DR. GERONA: All right. So just to give you an
17 example of a study that actually used this in identifying
18 particular contaminants, this was a study that was done in
19 Spain by the group of Bueno et al.

20 So what they did in this particular study is they
21 tried collecting samples from sewage treatment plants and
22 screened them for -- they have a very small library here.
23 They have only 56 pharmaceuticals, pesticides, and I think
24 disinfectants. And, you know, it's concerning to see some
25 of the compounds in all this. I think they have about 20

1 samples. And these are the positive hits that they have
2 in those 20 samples.

3 So you can see caffeine. That's not really
4 concerning, but you can see ibuprofen there, beta blocker
5 like atenolol, and even codeine in these effluents.

6 --o0o--

7 DR. GERONA: And here's an example of identifying
8 an unknown. In this particular study, they have found
9 dipyrone, which is an antidiuretic. And in previous
10 studies, metabolites of dipyrone has been identified in
11 river waters. They have included in their library one of
12 the metabolites of dipyrone. I think it's
13 methylaminoantipyrine, which they have found in the sewage
14 treatment plant waters to be very high in levels.

15 Then in their unknowns, they also have found a
16 persistent molecular weight. This molecular weight
17 246.1237 present in a lot of samples.

18 All right. They did run an unknown targeted
19 analysis of this. And what they found out is that this
20 particular molecular weight actually coincides with the
21 mass of 4-AAA is another metabolite of dipyrone. Okay.

22 So I don't think they have a reference standard
23 for this initially, so what they did is they do have a
24 QTOF. They tried to fragment the ion. And what they have
25 found out is that there are two fragment ions here, which

1 are the expected ions, of this particular unknown is
2 4-AAA. And so that's kind of like what the process will
3 be if you don't have a reference standard. You can run
4 exact mass analysis of the daughter ions and see if it
5 will fit what you have.

6 --o0o--

7 DR. GERONA: And finally, this is the study that
8 we're doing with Tracey Woodruff and Ami Zota. I've
9 already set a rationale for this. There are no
10 non-targeted analysis of environmental toxins in
11 biological samples that has been reported so far.

12 The objective of the study would be to use
13 unbiased interrogation methods to identify previously
14 unmeasured environmental chemicals in the serum of
15 pregnant women.

16 I'm allowed to say a few things. So here what we
17 will do is we will develop a chemical database for
18 environmental toxins. So that will be our basis for the
19 non-targeted analysis for giving some identity to the
20 molecular formula. We would use about 20 retrospective
21 samples. These are ethnically diverse. We will then look
22 at high frequency environmental toxins that has not been
23 reported yet. We would rank them and the top 10 to 15
24 chemicals we would develop a targeted analysis for them,
25 so that we can quantify their levels in these women.

1 So as I've said, the method is TOF LC-MS. And
2 the funding source for this study is through the Passport
3 Foundation.

4 --o0o--

5 DR. GERONA: I'd like to thank my advisor, Dr.
6 Alan Wu, who has made all these studies possible and my
7 collaborators at PRHE.

8 --o0o--

9 DR. GERONA: And I'd like to end with showing you
10 some of the platforms -- oh, what have I done? -- showing
11 you some of the platforms. I need to show the platforms.
12 So these are some of the platforms available for this kind
13 of study. We have this already. This is what we did for
14 a pilot study. I was going to say that we also did some
15 pilot study.

16 And unfortunately, I cannot reveal to you some of
17 those results. But we have already found at least three
18 chemicals that hasn't been reported yet. It's not
19 confirmed yet, but there are three chemicals that we're
20 finding out in our pilot study that has not been
21 biomonitored yet. So we find that really very
22 interesting.

23 We have just gotten an OrbiTrap, and it's being
24 installed in our laboratory this month. And we're
25 expecting a QTOF AB SCIEX 5600, which probably will be the

1 workhorse for this particular study. The OrbiTrap is good
2 to have just in case we have difficult unknowns where we
3 cannot find reference standards for, because this has a
4 very high resolution.

5 So with these kinds of platforms, one should be
6 able to do environmental biomonitoring using a
7 non-targeted approach, which I hope people would be
8 interested in, because there's really, I think, a lot of
9 information that this can provide us that we don't have
10 now, which would otherwise be very difficult to do if
11 you're doing targeted analysis.

12 Thanks for your time and I'd be happy to take
13 questions now. And I'll turn the floor over to Dr.
14 Luderer for the Panel discussion.

15 CHAIRPERSON LUDERER: Thank you very much, Dr.
16 Gerona. That was very interesting and very exciting and
17 something the Panel has been talking about for some time.

18 Any questions or comments from Panel members?

19 PANEL MEMBER BRADMAN: I have to think about
20 this.

21 (Laughter.)

22 CHAIRPERSON LUDERER: Are there -- I'll just ask
23 a question. Are there any particular challenges that you
24 think that there might be with matrices like, well, say
25 blood for example versus some of the other matrices that

1 have already been published on?

2 DR. GERONA: Sure. Sure.

3 CHAIRPERSON LUDERER: I mean, sewage treatment
4 effluent sounds pretty complex.

5 (Laughter.)

6 DR. GERONA: Yeah. I guess my colleagues in the
7 laboratories here would agree with me that biological
8 matrices are far more complicated than water.

9 We have some experience in developing a method
10 for BPA, and its conjugates. And, you know, we do --
11 every now and then, we find some particular samples very
12 challenging to measure. And so I guess to answer that
13 question, we have, I think, enough tools in the laboratory
14 to do clean-up process for a lot of these compounds.

15 Also, we are not going to -- in this particular
16 study, we're not going to use only one approach in sample
17 extraction. So we're actually -- when we are developing
18 the method, we are developing a method where we do simple
19 protein precipitation alone, solid phase extraction, And
20 liquid extraction. And the idea is to capture as much
21 environmental toxin as possible.

22 That's also the reason why we're not only using
23 ESI as our ion source, because obviously ESI is very good
24 for very polar compounds, but you would be missing the
25 more non-polar ones. And so we have actually put in two

1 different ionization sources for this particular study.

2 So we are aware of the complications in the
3 biological matrix. And our approach to that is to use
4 different sample preps and different sample clean-ups to
5 maximize the amount of signals that we'll get.

6 Of course, the downside to that is that the
7 analysis of your results will be a little more
8 complicated. And I guess we haven't sorted out all the
9 analysis so far, because our data is -- we haven't gotten
10 a lot of data yet to address that particular issue.

11 Does that answer your question?

12 CHAIRPERSON LUDERER: (Nods head.)

13 Dr. Solomon.

14 PANEL MEMBER SOLOMON: Can you -- fantastic
15 presentation, by the way. Thank you.

16 Can you tell us a little bit more about the
17 reference libraries and the limitations that might exist
18 there. And I understand some of these reference libraries
19 are proprietary, that there are some that are being
20 developed that may be public. I'm curious how many
21 chemicals are in these different libraries to compare
22 against.

23 DR. GERONA: Sure. So we were surprised when we
24 were in the initial stages of developing the chemical
25 library. And we haven't finished yet in this particular

1 activity. But there is really no open source out there
2 that would give you a list of all the chemicals and their
3 respective chemical formula.

4 A lot of the sources would say that they're open
5 source, but you can do individual queries. What we need
6 for the chemical database is a simple listing of the
7 chemical name and the chemical formula. We don't even
8 need the structural formula. We need the chemical
9 formula, because the software of the machine would
10 determine or will calculate for you the exact mass.

11 So then we said, okay, so this is our first
12 challenge. How are we going to put together all of these
13 environmental toxins. So far, we've referred to NHANES.
14 Agilent has a forensic database that includes at least 500
15 pesticides and herbicides, insecticides. So we've gotten
16 that.

17 We have used results of biomonitoring studies,
18 which I know is not target -- it's a result of a targeted
19 analysis. But we've also used the high production volume
20 database by the EPA HPV program.

21 There is this MDI biolab that has a listing of
22 100,000 environmental toxins. And so we've looked at the
23 chemicals also. And we have, interns and post-docs who
24 are assiduously searching for the formula of each of these
25 compounds. So right now we have about 700 in our

1 database, and we're continuously adding compounds in our
2 database.

3 Our goal is to at least have a 1,500. There's
4 other limitations. Polyaromatic hydrocarbons, for
5 example, will not ionize in the sources that we have.

6 So in that sense, we won't be able to look for
7 those, unless my boss would agree to buy another ion
8 source that we would -- we might be able to use for this
9 particular study. But right now I'm not banking on it.

10 So there are limitations also as to the types of
11 compounds, those that are very difficult to ionize. It
12 might be in our chemical database, but we already know
13 that we won't be able to find them, because we will not be
14 able to ionize them using our method.

15 Another limitation of the TOF right now or the
16 QTOF is in quantification. And that's the reason why, if
17 you've noticed in the approach that we will be doing, we
18 will not be relying on the TOF for the quantification. We
19 will actually rely on LC-MS/MS for quantification. So it
20 will be a tandem technique, right. So we will do
21 qualitative analysis using the TOF, because that's what
22 it's good for.

23 Once we've identified high ranking chemicals,
24 those with high frequency, we will then try to find
25 reference standards for those, and develop a quantitative

1 method using LC-MS/MS.

2 The problem with the TOFs right now is it's
3 ability to -- its limitation in the linear dynamic range
4 that it can measure for a given analyte, so it's very,
5 very limited.

6 It's in the hundreds as opposed to 10 to the cube
7 or 10 to 4th. What I'm talking about here is what is that
8 range of concentration where your measurement is still
9 linear. Right. Can you go from 0.1 ppb to 1 ppt, parts
10 per thousand. In some platforms, that can be achieved.
11 In the TOF, from our experience, we haven't achieved more
12 than 10 to third. So that's a limitation also. And
13 that's why we're still relying on LC-MS/MS for
14 quantification.

15 CHAIRPERSON LUDERER: Dr. Quint.

16 PANEL MEMBER QUINT: Julia Quint. Thank you.
17 Not only was your presentation elegant, but you did such a
18 good job of explaining something that potentially could
19 have been very complicated, so I appreciate that.

20 DR. GERONA: Thanks.

21 PANEL MEMBER QUINT: I think the possibilities
22 with this seem almost limitless. And I think also it
23 raises, because there's such a great potential to get so
24 much information, this could also be a challenge, I think,
25 in terms of, you know, biomonitoring, because we're

1 struggling now with what -- how do we present results to
2 people and how do you really explain what they mean and
3 all of that. So this adds to that, not that that's not a
4 challenge we can't meet, but it just is another challenge.

5 But I also, I was wondering, I mean, you know, in
6 this process too, you can detect potentially almost
7 everything in a sample in drugs and, you know, things that
8 you may not be looking for, in terms of a certain -- you
9 know, if you're looking for environmental contaminants.

10 And is there an ability, say, if you were measuring
11 contaminants or analytes in the -- for pregnant women and
12 their infants, is there a way for you -- do you
13 automatically just decide what you're going to analyze or
14 do you have this, you know, commitment to just disclose
15 everything, because we're taking an a narrow view in
16 biomonitoring that we're looking for certain environmental
17 contaminants. And from that we're making -- we're doing
18 that so we can look at, you know, some of the implications
19 of our policies around environmental contamination.

20 But in this type of analysis, I mean, you can
21 have somebody who is also taking ibuprofen or taking, you
22 know, drugs or whatever. And I'm just wondering about the
23 sort of ethical conundrum that that might present, in
24 terms of being able to make certain statements about
25 environmental contaminants versus other things that could

1 cause comorbidity or whatever? I mean, you know, this
2 is -- I'm already leaping from now we can do this to how
3 are we going to talk about it. So I'm just wondering if
4 you've had some thought about these things?

5 DR. GERONA: It's a very good question. Believe
6 me, I've been tempted so many times. I have a sample of a
7 patient with me, right. I have a database that has 7,000
8 pharmaceuticals. I have reference standards for 350 of
9 them, so I can confirm, okay, I wonder if she is an
10 Ecstasy user, or I wonder if she's abusing cocaine too.

11 Of course, a lot of these studies are limited by
12 your IRB, what's in your IRB. You know, for example, in
13 this particular study, we can -- we are poised to actually
14 analyze for pharmaceuticals. Technically, there's nothing
15 preventing us from doing that. But will we do that? No.

16 Because the IRB does not explicitly say that we
17 can screen them for pharmaceuticals as well. And, in
18 fact, you would have a hard time recruiting women if you
19 tell them that, you know what, I'm going to screen if
20 you're an MDMA user too or a potential MDMA user too. So
21 it's the IRB that should be very explicit on what kinds of
22 toxins, what databases you have.

23 Having said that though, there's always an
24 opportunity, and I don't know what the legal repercussions
25 or what the ethics behind this, to add some changes to

1 your IRB, right.

2 For example -- and I'll give you a clear case.
3 We are -- we have done several studies on particular
4 toxins. And we've also been interested on
5 pharmacogenetics, for example. Is the pharmacogenetic
6 makeup of a particular patient or a particular subject in
7 the cohort also contributes to the metabolite that we're
8 measuring? Which sometimes would actually determine
9 whether a particular substance -- why a particular
10 substance will be toxic to one but not the other. You can
11 certainly add that.

12 We also -- I'm not sure if you have heard of this
13 DMET Chip. It's a drug metabolite enzymes transporters.
14 And it's a chip that can do almost similar to this. It
15 has about 2,000 to 3,000 polymorphisms. It can actually
16 screen for polymorphisms, which I think is a wonderful
17 thing also. I mean, I think that should be the next stage
18 in all of what we're doing to see how pharmacogenomics
19 also play in the toxicology behind all these chemicals
20 that we're screening for.

21 Again, so as far as your IRB is explicit about
22 what you can do, then you're limited with that. That's
23 the only screening that you can do.

24 But as I've said, because you can do
25 retrospective analysis on this. If some day you'll get

1 that approval to screen for another set of toxins, then
2 you can just look back to your data. The data is there,
3 right? You just have to have, one, the database to screen
4 for it, and, second, the IRB approval that you can
5 actually screen for the specific types of compounds that
6 you want to screen for.

7 PANEL MEMBER QUINT: Thank you.

8 CHAIRPERSON LUDERER: Maybe we'll take some
9 comments from the public if we have any right now, and
10 then we could have some additional discussion. So thank
11 you very much again. And we'll probably have more
12 questions for you.

13 Do we have any?

14 MS DUNN: We have just one.

15 CHAIRPERSON LUDERER: Okay. This is from Davis
16 Baltz of Commonweal.

17 MR. BALTZ: Davis Baltz, Commonweal. This is a
18 very down-to-earth mundane question. Thank you, Dr.
19 Gerona. But I wonder if you can tell us the relative
20 costs approximately of these different platforms?

21 DR. GERONA: It's definitely not parts per
22 million. It's millions -- no, I'm kidding.

23 So you're aware that each of these companies
24 would probably have different quotations depending on
25 whether you're an academic institution or a private firm.

1 For -- I'll give you some ballpark estimates. For
2 example, the TOF-MS from Agilent, the 6230 that's depicted
3 there, I think, is one of the cheaper of these
4 instruments. At the ballpark it will probably cost you
5 about \$300,000.

6 The 5600, see -- and I don't want to be quoted
7 for this, because I might get in trouble with the
8 different companies. It will really depend on what
9 applications also you want to perform, because, you know,
10 you might need another type of ion source or you might
11 need a particular software, so the software are actually
12 sold separately as always, right?

13 So you can buy the hardware, but the software to
14 operate it and the software to dig data for is also being
15 sold separately. To me it's counterintuitive. Like why
16 would you buy me -- sell me the hardware without the
17 software to operate the instrument.

18 So to make -- so I think probably between half a
19 million, about 700,000 depending on what applications you
20 want. The OrbiTrap is much more extensive. And, you
21 know, I don't have a ballpark estimate with me for what
22 the OrbiTrap would cost. And that 500,00 to 600,000 would
23 probably include also the liquid chromatography portion of
24 the instrument, because you would want to run this with an
25 LC, but that's probably just --

1 MS. HOOVER: Speak into the mic, please.

2 DR. GERONA: Sorry. Probably about 80 to
3 120,000, depending on what capability you have. So that's
4 all included in that 500 to 600,000 probably that I was
5 quoting. But then again, you know, it will depend on your
6 arrangement with the company, the capability that you
7 want, the instrument to have and the applications you want
8 to use it for.

9 CHAIRPERSON LUDERER: Okay. Thank you. We have
10 time for more Panel discussion.

11 Dr. Solomon.

12 PANEL MEMBER SOLOMON: I'm curious how many other
13 folks are out there that you're aware of who are using
14 this kind of methodology to look at biological samples.
15 Are there other groups at academic centers or other places
16 that are sort of proceeding in parallel and working on
17 this?

18 DR. GERONA: Okay. I can answer for the clinical
19 toxicology part. I am not aware of any other group in
20 environmental toxicology that are doing non-targeted
21 analysis. But the TOF can also be used for targeted
22 analysis. And there is ARUP. ARUP is a reference
23 laboratory. They actually have developed a method for
24 screening pain management drugs in serum. It's already
25 being offered. It's on line since -- I assume. I

1 can't -- gosh, I can't remember. I think March or even
2 earlier than that.

3 So they've used the TOF to develop a panel for
4 drug screening. There are other laboratories that are
5 actually using it for developing methods for drug
6 analysis.

7 I know that the Millennium Laboratories in the
8 south, which is another reference laboratory, has the
9 instrument and has developed a method for it. I don't
10 know whether their method is on line or not.

11 NMS has just purchased the Agilent TOF also, I
12 think March. To give you an idea on the interest of this
13 kind of machine, in our field there is an association
14 called mass spec for academic and clinical laboratorians.

15 In 2010, when I attended a conference, there's
16 hardly any poster on the TOF or the OrbiTrap. 2011, last
17 February, there's probably about 25 percent of the posters
18 that are devoted to this type of technology. Whether they
19 are doing non-targeted analysis of environmental toxins or
20 not, I'm not certainly aware.

21 You know, environmental toxins it's not just the
22 application that you can do here. A lot of people who
23 have this machine actually use it for metabolomics. So if
24 you have researches where you would want -- for drug
25 discovery as well. If you researches where you want to

1 follow a particular compound in say a cell, right, and
2 look at what particular metabolites are being produced or
3 more importantly say you have a cell that is sick and a
4 healthy cell, you can actually compare all the masses that
5 are in the healthy cell and the sick cell, try to compare
6 them and look for are there unique masses that are
7 developing in sick cell that are not found in the normal
8 cell or are there missing masses in the sick cell that are
9 in the normal cell.

10 I think this technology would transform the kinds
11 of researches that we can do. And we're just at the
12 beginning stages of it. And we're very glad that we can
13 actually apply it to environmental toxins in biological
14 matrices.

15 CHAIRPERSON LUDERER: Dr. Bradman, do you have a
16 question?

17 PANEL MEMBER BRADMAN: I had a question and then
18 a comment.

19 The question was you mentioned at one point
20 though that depending on the extraction method, that might
21 determine what you find. So it seems like there still is
22 an inherent limitation there. You're not necessarily
23 measuring everything that's there, but we're measuring
24 what you can extract. And we may not know what's getting
25 extracted by your solvent or method.

1 DR. GERONA: And that's why the approach is to
2 use multiple methods of extraction, so you're covering --
3 and complementary ones, so that you're actually covering
4 what the other may lack.

5 PANEL MEMBER BRADMAN: Right. Just we should
6 kind of keep that in mind.

7 DR. GERONA: Oh, yeah. There are certainly
8 limitations to this technology. Also, one limitation, for
9 example, is that if you just have -- so there are machines
10 that are TOF alone, where it's devoted to intact ions.
11 There are also machines that are QTOF where you have
12 intact and other ions. If you have the TOF alone, there
13 is a co-eluent, meaning a molecule that has the same
14 molecular weight as your molecule of interest, that would
15 interfere in the ionization of your environmental toxin,
16 you might miss your environmental toxin. So that's also a
17 limitation.

18 So if I were to buy a TOF, I would buy a QTOF,
19 because that gives you more power in terms of actually
20 interrogating further like, you know, can -- based on the
21 daughter ions, can I say and go back and say actually I
22 have this compound. It's just -- you know, there's just a
23 co-eluent that's isobaric with the compound that I'm
24 interested in.

25 PANEL MEMBER BRADMAN: And the comment, maybe

1 this is more discussion related to Dr. Quint's comments.
2 I think it does raise fascinating ethical issues. But I
3 think a priori, if you're consent forms, you know, address
4 measurement of environmental toxicants and you defined a
5 pre-existing library that may exclude, for example,
6 pharmaceuticals or illegal drugs, you know, then those are
7 the compounds you focus on, and those are the compounds
8 you focus on quantifying.

9 And I think that there is an approach, because I
10 know this comes up in a lot of, you know, biomonitoring
11 health studies. And certainly it's been a challenge to us
12 when it's come up as an issue. And that's essentially the
13 way we've addressed it, you know, put constraints on the
14 consent form and then, you know, you're obligated to
15 follow those.

16 However, I think from a research point of view,
17 there are opportunities to collect or obtain anonymous
18 samples. And that might be an opportunity to expand the
19 library and potentially identify other compounds and
20 environmental toxicants in particular, that could then be
21 focused on in a -- you know, in a biomonitoring survey
22 that was specific to a population and involve returning
23 results and that sort of thing.

24 CHAIRPERSON LUDERER: Dr. Quint.

25 PANEL MEMBER QUINT: Julia Quint. Yeah, one of

1 the reasons I asked that is because in occupational
2 biomonitoring studies one of the things that a lot of
3 workers are sometimes, you know, concerned about, because
4 of the drug testing in workplaces and things like that.
5 You know, so testing for other -- things other than what
6 you said you're going to test for is sometimes an issue,
7 so I think -- the other question is you mentioned that
8 this is a really good tool to be -- to complement targeted
9 biomonitoring.

10 DR. GERONA: Yes.

11 PANEL MEMBER QUINT: So in our situation, where
12 you're sample size is, you know, an issue, do you know now
13 whether or not one sample collection would accommodate
14 targeted versus the TOF, whether -- you know, you're
15 perceived -- the non-targeted or would we -- are we still
16 at the phase of doing this separately from targeted
17 analytes or targeted biomonitoring?

18 DR. GERONA: So if I understand the question,
19 correctly, would a single sampling of a subject be
20 enough --

21 PANEL MEMBER QUINT: Right.

22 DR. GERONA: -- for a non-targeted analysis --

23 PANEL MEMBER QUINT: Exactly

24 DR. GERONA: -- so say that, okay, this compound
25 might be present?

1 PANEL MEMBER QUINT: Well --

2 DR. GERONA: Is that the question or --

3 PANEL MEMBER QUINT: Yeah. I guess I'm trying to
4 have everything. So, you know, if you have the sampling,
5 the sample size and getting the sample is one of the big
6 issues here, how much sample and what can you do with one
7 sample? So we're now aliquoting samples, so we can do
8 many different analytes and that sort of thing.

9 So if we wanted to answer both questions, you
10 know, because in this program we have to do targeted, you
11 know, because that's what the legislation says.

12 DR. GERONA: I guess now I understand your
13 question better. You're actually asking me about the
14 sensitivity of the instrument whether, you know --

15 PANEL MEMBER QUINT: Yes.

16 DR. GERONA: -- would I require 500 microliters
17 of blood --

18 PANEL MEMBER QUINT: Right.

19 DR. GERONA: -- or 3 mls of blood? And so if I
20 require 3 mls of blood here, then I won't have enough
21 sample for a targeted analysis.

22 Okay. So the answer to that question is it
23 depends on which platform you have, right?

24 So right now, I think as far as sensitivity is
25 concerned -- I should not just quote an incident. There's

1 an instrument that should show how you -- to probably a 1
2 ml sample would be enough for three different sample
3 extraction methods.

4 Having said that though, different families of
5 compounds may ionize differently and may have different
6 levels of ionization. In practice, what we are using
7 right now is about 750 microliters for extraction. But
8 the instrument that we have is not sensitive enough so
9 far. So we are upgrading on another instrument that we're
10 expecting to bring it down to at least 500 microliters.
11 So if we have three sampling methods, that's about 1.5 ml.

12 And so if you're concerned about is there
13 anything that you can use for targeted analysis, the
14 answer also to that is that whatever is left -- because
15 the injection volumes, although you need 500 microliters
16 of the sample, you need that for concentration. The
17 injection volume for this particular analysis is between
18 2.5 to 5 microliters. 2.5 actually is a lot of already.

19 So then you would have enough sample that you've
20 used for non-targeted analysis. If you developed a method
21 that would have the same solvent system as your
22 non-targeted to your targeted, you can just carry over
23 that sample and then use that for your targeted approach.
24 So that shouldn't be a problem.

25 PANEL MEMBER BRADMAN: Can you retain the

1 extract?

2 DR. GERONA: Yeah, of course.

3 PANEL MEMBER BRADMAN: You just simply store the
4 extract?

5 DR. GERONA: Yeah. Put it in a minus 80 freezer,
6 and -- so we still have to do stability studies of course.
7 But from experience, we're doing -- my experience so far
8 is on drug analysis. And again, there will be variability
9 and stability. So it's really very difficult to give you
10 a general answer that will apply to all chemicals. Say,
11 for example, for the drug analysis that I'm doing, I have
12 chemicals that are stable for six months or even more in
13 the minus 80, and there are chemicals that are stable only
14 for a week.

15 And, I guess, you know, you can -- I guess -- so
16 the limitation here is what's the time difference between
17 your non-targeted and your targeted, right? And we
18 haven't crossed that bridge yet and we're very early in
19 the stages of this study.

20 So our approach to that is to use 20
21 retrospective samples, use those samples again, and add to
22 that 30 prospective samples, so that we will have 50
23 samples in the end for the targeted analysis and compare
24 our data, I guess.

25 CHAIRPERSON LUDERER: Any other questions from

1 Panel members?

2 I do have just one question about your -- when
3 you were talking about the library of 700 compounds, is
4 that parent compounds or does that also include
5 metabolites?

6 DR. GERONA: Yeah. So far, those are mostly
7 parent compounds right now.

8 CHAIRPERSON LUDERER: And in order to identify
9 metabolites though, you also need to have the metabolites
10 in the library.

11 DR. GERONA: So I tell you what, so there are
12 also software -- so, for example, AB SCIEX and WATERS,
13 they have software -- say, for example, you put in a
14 parent compound, they have a software that has an
15 algorithm that will predict all phase 1 and phase 2
16 metabolites of that particular compound and give you the
17 chemical formula.

18 So then you can actually look for those formulas
19 in your samples, because aside from the database, what you
20 can also do with this technology is to do individual
21 searches of a chemical formula. In fact, sometimes, when
22 I have an unknown, and it's not in my database, I will do
23 some Google or Wikipedia search, because I wonder if this
24 is this particular drug? And then just ask, okay, is this
25 chemical formula present in my sample or not?

1 And it should be able to provide you an answer of
2 whether it's a possibility or not. So there are a lot
3 of -- you know, the first time I learned about this
4 instrument, it's love at first sight.

5 (Laughter.)

6 CHAIRPERSON LUDERER: Dr. Solomon.

7 PANEL MEMBER SOLOMON: Yeah. My question is for
8 the Biomonitoring Program folks, because I remember we had
9 had a discussion at one point about concerns from CDC that
10 using these kinds of approaches could constitute research
11 which would not be within the scope of our CDC grant.

12 And so I'd just love to have a little bit of
13 discussion and creative thinking about ways that perhaps
14 we could use this type of approach in the Biomonitoring
15 Program.

16 DR. DAS: Rupa Das, Department of Public Health.

17 Yes, that's correct. The CDC cooperative
18 agreement is not -- our guidance is that we are not to use
19 those funds for research. And currently, the latest
20 guidance from the project officer is that a TOF would be
21 probably considered research. And so we're looking at
22 ways to possibly get around that. But currently the
23 guidance is the TOF would probably be considered a machine
24 for research.

25 However, we could look at other funding sources

1 to fund that instrument. And we -- you know, I'm not sure
2 that the issue with CDC has been completely resolved
3 regarding our ability to use those funds to buy a TOF.
4 But currently, it's not a given that we would be able to
5 use those funds to support this instrument.

6 CHAIRPERSON LUDERER: Dr. She, do you have a
7 comment.

8 DR. SHE: Yes. I mentioned we also evaluated our
9 last purchase. One instrument that we evaluated is the
10 OrbiTrap, because the high resolution of the OrbiTrap.
11 Also, because the Program, we may be able to bring the
12 price down into an area we can afford.

13 So we evaluated the OrbiTrap. We also gets
14 quotation for AB SCIEX. We sent certain targeted
15 analytes. For example, we send the OrbiTrap, which are
16 produced by some official, we send them all of the
17 environmental phenol samples -- oh, sorry OP pesticides
18 for target analysis.

19 So at least we are -- if they can't do the target
20 analysis, we are aware the linear range is lateral. But
21 the three like here can reach tens -- 2,000 to 12,000 in
22 the linear range. So so far we get the results back for
23 the OP pesticides.

24 And also we send the perchlorate chemicals to
25 them, which we already know the result. And we are

1 evaluating this result.

2 And for the Agilent TOF under AB SCIEX we send
3 them samples too. But we tried to talk with CDC to see if
4 they can agree if this machine can do certain target
5 analysis with extra feature, can do the low target
6 analysis.

7 Also, I think Dr. Gerona mentioned beyond the
8 unknown compound analysis, the obvious group of machines
9 can do confirmation. So when we do our target analysis,
10 we depend on each transition, which is like 2 ions, parent
11 ion plus daughter ion. Somehow this information is not
12 enough.

13 So to say is this a chemical we are looking for
14 as a target, even plus as a reference? So we do need it
15 even for target analysis alone. I think laboratory need
16 something it can conform, because you have a high
17 resolution high acute mass -- now within this high acute
18 mass, you know that only a few congeners or chemicals can
19 match it, so you know your target analysis is more
20 accurate. So we may try to send this one to CDC to see if
21 we can get this through.

22 Thank you.

23 DR. GERONA: That's a very good point. I'm not
24 saying this because I'm a laboratorian and I'm supporting
25 the other laboratorian. We are actually developing

1 methods using targeted analysis for using the TOF.

2 And I can tell you now, when I'm done with the
3 mini validation of a seizure panel the we can do
4 semi-quantitative analysis using the TOF. So I don't know
5 what level of accuracy folks from this group require, but
6 you can certainly use this machine at least now for
7 semi-quantitative. We are still assessing how this
8 machine will compare as far as quantitative analysis is
9 concerned with LC-MS/MS.

10 And I think for that, we are waiting on the 5600
11 compare it with the 5500. And if we're thinking that
12 they're comparable, then we would certainly use it also
13 for quantitative analysis.

14 And, I guess, if you can phrase it that way, that
15 you are using this for confirmation in your analysis, then
16 I don't know maybe CDC would be convinced that it may not
17 just be a research tool.

18 CHAIRPERSON LUDERER: Dr. Solomon.

19 PANEL MEMBER SOLOMON: I'd like to propose a
20 formal recommendation from this Panel. That the
21 Biomonitoring Program explore ways to use these TOF or
22 OrbiTrap technologies for priority setting and for
23 confirmatory analyses. And that in the near term that
24 these could -- you know, this could be done through
25 partnerships with other outside institutions, and

1 ultimately through the purchase of, you know, appropriate
2 laboratory instrumentation.

3 I think that it might be helpful, you know, if
4 the Panel supports this, for the Program to, you know,
5 understand that we view it really as -- you know, both as
6 a priority setting tool for us to use really, as we
7 designate chemicals and prioritize chemicals within the
8 program and also as an important laboratory tool and not
9 as a research tool.

10 CHAIRPERSON LUDERER: Dr. Das.

11 DR. DAS: I'd like to respond to one of the --
12 well, thank you for that. We'll take that guidance and
13 use it for the Program.

14 I just wanted to let you know that CDC's priority
15 is to have high throughput instruments. And so, again, we
16 can certainly look if CDC isn't -- CDC's waiting to get
17 the results of our exploration to see if they would
18 approve this purchase. If not, there certainly is the
19 option to look at other resources beyond CDC. But their
20 primary concern is to develop state capacity and
21 capability for biomonitoring, and so they really wanted to
22 push for high throughput.

23 CHAIRPERSON LUDERER: I know we need to move on
24 soon, but do we have other comments from the Panel?

25 PANEL MEMBER MCKONE: Well, I mean, since this is

1 a recommendation from the Panel, I mean, I agree with it,
2 I think we should at least have some verbal discussion of
3 it.

4 So if the CDC -- so in further response to Dr.
5 Solomon's point. If there are others -- if CDC is pushing
6 this direction, does that mean we need separate capacity
7 in California or can we take advantage of it or are
8 there -- they're only seeing it as being done here, right?
9 They're saying they're going to give money for equipment
10 in California to do the rapid screening?

11 DR. DAS: Maybe I should clarify my comment. The
12 purpose of the CDC biomonitoring cooperative agreement is
13 to build capacity at the state to do biomonitoring. By
14 that, they mean to have high throughput capacity.

15 PANEL MEMBER MCKONE: Right. And are they
16 building -- I'm just -- are they building a similar
17 capacity at sort of a national level?

18 DR. DAS: They did NHANES. NHANES is performed
19 at the CDC. In addition, they do sample analysis for
20 other states. Part of the purpose of the CDC
21 biomonitoring grant was to push that ability out to the
22 states, so that they wouldn't have to do as much
23 biomonitoring for others.

24 PANEL MEMBER MCKONE: Right. But we're also
25 talking about different technologies. So their

1 technologies are all high throughput?

2 DR. DAS: Yes.

3 PANEL MEMBER MCKONE: So we're just trying to get
4 that.

5 Now, the other point though about, I think,
6 that's important is that this is an adjunct to -- you
7 know, we spent the first year developing a screening
8 process, which was really not analysis based. It was
9 really based on going through the CDC's records, going
10 through uses of chemicals, going through modeling. I
11 think it is important to point and emphasize that this is
12 another way of coming at that, an adjunct to that whole
13 process -- an important adjunct to that process, to do
14 some rapid screening to see what we find as opposed to
15 searching through lists of chemicals to flag the ones that
16 we think are --

17 CHAIRPERSON LUDERER: So just to clarify, the CDC
18 is talking about high throughput targeted, right, as
19 opposed to unknown?

20 DR. DAS: Yes.

21 CHAIRPERSON LUDERER: And I actually kind of
22 wanted to agree with what's been said so far, and also to
23 say that this morning, I think there was -- the example
24 that Dr. Petreas was talking about, trying to decide which
25 of the additional brominated flame retardants, you know,

1 organic flame retardants assays should be developed for,
2 and the difficulty of developing these assays. A method
3 like this could be used for prioritizing, you know, which
4 ones are actually detected in -- you know, in
5 biospecimens, and then developing the targeted assay for
6 those, rather than, as may already happened with some of
7 the targeted assays that she was talking about this
8 morning, where they may not end up being able to detect
9 those compounds and biospecimens.

10 Any other comments from Panel members?

11 PANEL MEMBER QUINT: This is Julia Quint. I just
12 want to add too also, we are constantly challenged with
13 use and potential exposure questions, you know, because we
14 don't have any sources in the country, let alone the
15 state, of finding out what chemicals are used and where
16 they're used. And so this would help get around that.
17 And I don't think we're close to getting that information.

18 We've had bills in the Legislature and other
19 means of trying to -- you know, because it's definitely
20 the essential piece in priority setting. You want to be
21 able to go after things that are relevant to the
22 California population. And I don't think we can get
23 as -- anywhere a handle on that, you know, to the extent
24 that we can get it with this technology.

25 So in talking about this, I think that issue

1 should come up as well, you know, how difficult it is.
2 Every program I've worked in, in this issue of chemical
3 exposures, the question is, is it used in California? If
4 so, where, and how much and who's exposed, and you can
5 never get at that.

6 So this would be one of the ways -- a great way
7 of being able to do that, which I don't consider research.
8 I consider essential to the task.

9 CHAIRPERSON LUDERER: So we did have a suggestion
10 for a formal recommendation from the Panel. Would you
11 like us to -- should we have a formal vote on this?

12 MS. HOOVER: Yeah.

13 CHAIRPERSON LUDERER: Do we have a second from a
14 Panel member for a vote?

15 PANEL MEMBER MCKONE: I think I seconded it.

16 CHAIRPERSON LUDERER: Dr. Bradman, would you like
17 to vote -- start voting and we'll go down.

18 PANEL MEMBER BRADMAN: Yes. I just have a
19 question, is the wording of the recommendation clearly
20 enough for the Program?

21 MS. HOOVER: Yeah. It's clear enough to me. If
22 you want to restate it, sure.

23 PANEL MEMBER SOLOMON: Actually, I'd like to add
24 one more thing to the wording based on what Dr. Luderer
25 said, and something in Dr. Gerona's presentation, which is

1 that this is an important technique clearly for any
2 chemical for which there's no reference standard. And we
3 have already prioritized many of the brominated flame
4 retardants. And we heard this morning from Dr. Petreas
5 that there are no reference standards for many of our
6 priority chemicals.

7 And so there appears to be no other way to
8 feasibly monitor for those chemicals, absent the use of a
9 QTOF type method or OrbiTrap type method. So I think
10 that's perhaps something we should add.

11 CHAIRPERSON LUDERER: Okay. So is that clearly
12 stated, so do we want to have it summarized again?

13 MS. HOOVER: Sure. I mean, if you want to just
14 restate it. I mean, what I wrote down was that
15 Biomonitoring California should explore ways to use, I
16 guess with TOF, QTOF, OrbiTrap and similar technology for
17 priority settings and confirmatory analyses. And with the
18 added note that use of these technologies is the only way
19 to look at some of the chemicals on our priority list
20 because there are no reference standards.

21 CHAIRPERSON LUDERER: Dr. McKone.

22 PANEL MEMBER MCKONE: I'll second that. It's
23 revised, I think it has to be re-seconded.

24 CHAIRPERSON LUDERER: All right. I think we're
25 ready to vote.

1 So Dr. Bradman?

2 PANEL MEMBER BRADMAN: Yes.

3 PANEL MEMBER SOLOMON: Gina Solomon, yes.

4 PANEL MEMBER MCKONE: Tom McKone, yes.

5 CHAIRPERSON LUDERER: Ulrike Luderer, yes.

6 PANEL MEMBER QUINT: Julia Quint, yes.

7 PANEL MEMBER KAVANAUGH-LYNCH: Mel

8 Kavanaugh-Lynch, yes. And I would add in terms of the
9 expense, having an evidence-based priority setting
10 methodology would save actually the State large amounts of
11 money.

12 CHAIRPERSON LUDERER: All right.

13 That was -- I'm sure we could go on discussing
14 that for quite some time, but we do have to move on to the
15 next topic. We do have a break scheduled. I wonder
16 should we make it a little shorter? Should we do a
17 15-minute break?

18 MS. HOOVER: Let's still do 15 minutes.

19 CHAIRPERSON LUDERER: So it's 5 to 3 by this
20 clock. Shall we say 10 after 3 then we'll reconvene.

21 (Thereupon a recess was taken.)

22 CHAIRPERSON LUDERER: It looks like people have
23 come back in. And I'd like to welcome everyone back from
24 the break. And I wanted to reintroduce Sara Hoover, Chief
25 of the Safer Alternatives Assessment and Biomonitoring

1 Section at OEHHA.

2 Sara.

3 (Thereupon an overhead presentation was
4 Presented as follows.)

5 MS. HOOVER: So I'm just going to introduce the
6 Panel discussion. So referring you back to the March 17th
7 workshop what we had on understanding and interpreting
8 biomonitoring results, because the Panel attended in the
9 audience, you didn't have a chance to actually talk about
10 this workshop as a Panel, so that's the purpose of this
11 item.

12 --o0o--

13 MS. HOOVER: So we want to give you time to
14 discuss it as a Panel and give us any input and
15 recommendations about the workshop, anything you'd like to
16 highlight about the workshop that particularly struck you.

17 So to help you start your discussion, what I'm
18 going to do here is just give you a very brief overview of
19 some of the March workshop highlights, and also a very
20 brief outline of the direction of Biomonitoring California
21 on these issues.

22 --o0o--

23 MS. HOOVER: So just to remind you the background
24 for the workshop. You know, we were interested in talking
25 about these issues for a few reasons. One is we're going

1 to be returning individual results to participants upon
2 request, and advising individuals on follow-up steps as
3 needed. And we're also going to be using biomonitoring
4 results to help support the State in evaluating public
5 health efforts to reduce chemical exposure. So both of
6 these activities will require understanding and
7 interpreting biomonitoring results.

8 --o0o--

9 MS. HOOVER: The March 17th workshop included
10 presentations by six national experts, and a number of
11 discussions with the speakers and the audience during the
12 workshop.

13 There's a website devoted to the workshop that
14 includes all the presentations, the transcript, and a
15 brief summary.

16 --o0o--

17 MS. HOOVER: The objectives of the workshop were
18 to discuss approaches for understanding and interpreting
19 biomonitoring results, discuss possible methods for
20 developing comparison levels in blood or urine, consider
21 scientific challenges in interpreting the results, such as
22 how to address multiple chemical exposures in sensitive
23 subpopulations, and just generally provide input to
24 Biomonitoring California on these issues.

25 --o0o--

1 MS. HOOVER: So you probably saw in your packet
2 and also we posted on line a brief summary. And the way
3 that we did the summary of the workshop was really to draw
4 on the discussion periods and highlight some of the issues
5 of interest. Now, this is not definitely a comprehensive
6 summary, and we'll be continuing to look at the very rich
7 transcript and speaker's presentations.

8 I'm just going to go through some of the topics
9 that we did pull some highlights out on.

10 The first was returning individual results,
11 particularly giving context and uncertainty; information
12 on chemical health effects and exposure sources for report
13 back; developing levels of health concern; evaluating
14 exposure sources and studying early effect markers, and
15 aspects of biomonitoring measurements, and how
16 biomonitoring results will inform public health and
17 regulatory actions.

18 --o0o--

19 MS. HOOVER: So now I'm going to do an even
20 briefer summary than what we prepared in writing and just
21 pull out a couple of things in these general areas.

22 So in terms of individual results return, one of
23 the things that was discussed was how important it was to
24 convey the uncertainties in the interpretation of
25 biomonitoring results when returning individual results,

1 that that process can reveal some key sources and possible
2 ways to reduce exposures.

3 --o0o--

4 MS. HOOVER: There was a lot of discussion of
5 analytical issues. Here's just a couple of things, and
6 aspects of the measurements themselves.

7 So it's really important to understand and take
8 into account how analytical issues like level of detection
9 can affect the interpretation of the results that we get.

10 And that we might want to consider a study design
11 that includes multiple measurements in each person to
12 better estimate variability, so instead of just increasing
13 your N to actually have multiple measurements in
14 individuals.

15 --o0o--

16 MS. HOOVER: In terms of public health and
17 regulatory action, there was a lot of discussion about why
18 is Biomonitoring California important, and why was it
19 established? And this can influence how we look at the
20 results.

21 So some of the things noted were that the Program
22 was established to help investigate possibly higher
23 exposures in some communities, to set priorities for which
24 chemical exposures warrant action, and to generate data on
25 emerging chemicals, so that it's important for the Program

1 to really think strategically about what questions we can
2 answer and how those questions relate to regulatory and
3 public health policies.

4 --o0o--

5 MS. HOOVER: So just a note, that a lot of the
6 feedback we received at the workshop was really consistent
7 with the direction we're heading, in both individual
8 results returned and also these larger issues.

9 So just a reminder, basically very brief note on
10 the direction, which is that the Program intends to
11 continue to focus on generating data to understand levels
12 of chemicals and trends in communities and the general
13 population, and to support the evaluation of public health
14 and regulatory programs, that those main goals of the
15 Program remain.

16 We also have an obligation to our participants to
17 use best practices to return individual results, and to do
18 follow up where needed. And you heard some of that work
19 we're already doing in Dr. Das' presentation. And you'll
20 hear a lot more about that in the future.

21 So at this point, I just want to turn it over to
22 Dr. Luderer and let her facilitate your discussion of the
23 workshop.

24 CHAIRPERSON LUDERER: Thank you, Sara.

25 Do we have any comments or discussion from the

1 Panel members?

2 Dr. Quint.

3 PANEL MEMBER QUINT: This is Julia Quint.

4 I just want to compliment the Program on a
5 very -- I thought very productive workshop. I think the
6 choice of the speakers, they were varied. And I thought
7 each of the presentations, while I didn't agree with
8 everything that everybody said, I think they all had --
9 made very unique contributions. And, you know, the
10 content of their presentations were very helpful.

11 And I think it also confirmed, I think what Sara
12 just said, that we are headed in the right direction. It
13 really, for me, helped to distinguish between the amount
14 of emphasis that we should be placing on interpreting
15 individual results and trying to come up with what does
16 this result mean in terms of a health outcome, and the
17 focus on, you know, public health and population, what
18 this means for a large group of people, as opposed to the
19 individual. Even though, we have an obligation and will
20 return results to individuals, and will do -- you know,
21 have appropriate information about the chemicals and how
22 to reduce exposure and things like that, and following up
23 on high exposures.

24 This really is about, you know, what we're doing
25 in terms of protecting California as a population from

1 environmental contaminants where we can and evaluating
2 what we're doing, and what we have done.

3 So I think that the workshop participants really
4 confirmed that, you know, and how difficult it is, for
5 instance, to -- if you're interpreting results, in terms
6 of using old risk assessments, and -- you know, to make
7 statements about whether or not results are high or low,
8 in terms of interpreting them for individuals.

9 This is a good -- you can do this when you want
10 to look at setting priorities and maybe as a research
11 follow up, but not for giving relevant information to an
12 individual about, "Oh, you're very low and no reason for
13 concern", or, "This is very high".

14 So, for me, I thought it was really helpful. I
15 understood the biomonitoring equivalents much better, and
16 how they -- you know, and the caution, in terms of their
17 use as -- you know, in terms of telling people about
18 potential health outcomes.

19 So, for me, it was very -- it was a very good
20 experience.

21 CHAIRPERSON LUDERER: Any other comments from
22 Panel members, discussion?

23 Dr. Bradman.

24 PANEL MEMBER BRADMAN: I just want to follow up
25 on what Dr. Quint said. And, you know, I certainly came

1 out of the workshop impressed by the breadth and depth of
2 what was presented, and also still agree with this summary
3 that, Sara, you included here, that developing levels of
4 health concern for individual risk interpretation should
5 not be a Program focus.

6 And I remember that kind of lit up some
7 discussion, actually in this room, last year. And maybe
8 we want to follow up on that and really assess whether
9 there's consensus on that on the Panel.

10 Just personally, I have a concern that if we get
11 into the business of, you know, risk assessment -- that if
12 the Program gets into the business of risk assessment,
13 that it might become more difficult to actually do the
14 biomonitoring. And that if there's any risk assessment
15 going on around these measurements, that they should be in
16 a different context and not linked directly to the
17 Program, because of the, you know, debate and process and
18 input.

19 One thing where I see a certain tension or
20 conflict though, and maybe we need discussion here, is
21 that if there is an interest in providing some
22 interpretation to individuals, how do you provide that
23 interpretation without that becoming a precedent for some
24 other decision that might be unrelated to the
25 Biomonitoring Program?

1 So I guess I'm putting that out there as
2 something maybe we should consider.

3 CHAIRPERSON LUDERER: Dr. Solomon.

4 PANEL MEMBER SOLOMON: Yeah. I agree. It was an
5 excellent workshop. Very good summary. And that I still
6 feel -- agree with Dr. Bradman and still feel quite
7 strongly that we shouldn't be in the business of trying
8 to, you know, get too far down the road of, you know,
9 quantifying or, you know, providing health-related
10 benchmarks as part of this program. And so -- and for the
11 same reasons that he stated, which, you know, largely have
12 to do with resources and with sort of pulling us off
13 track, but also with some more substantive issues,
14 including the fact that, you know, we have intentionally
15 chosen to biomonitor for chemicals that are poorly
16 understood. And so there wouldn't be sufficient
17 information on which to base enough of a risk assessment
18 that we could come up with a biomonitoring equivalent or
19 any such number. And so I think that we do need to keep
20 our public health focus.

21 With regard -- well, I'm not quite sure how to
22 answer the question that you raised, so I'll sort of see
23 if other Panel members have other comments on that.

24 CHAIRPERSON LUDERER: Dr. Quint.

25 PANEL MEMBER QUINT: I'm not sure I -- this is

1 Julia Quint. I'm not sure I can answer the question, but
2 I have had experience in -- when I was with the Department
3 of Public Health of answering questions from people that
4 people posed about chemical exposures, albeit in an
5 occupational situation.

6 But having done that for, you know, 15 years or
7 so, I think there -- for me, it's the -- you separate
8 toxicity from health outcomes. I mean, the reason we have
9 chosen certain chemicals is because of their potential
10 toxicity of -- because of their toxicity and potential to
11 impact health.

12 So often -- I mean, I make a big distinction
13 between those two things. I mean, the chemical is toxic,
14 is there exposure? And often you can't answer how that
15 particular exposure, if there is exposure to that toxic
16 chemical, how that will impact one's health, because we
17 don't have those studies.

18 I mean, we have some epidemiological studies, but
19 even those you can't say to a person, to an individual,
20 whether or not they will be affected. So I think you have
21 to give them the information you have.

22 This is, you know, why we measured this chemical
23 and you'd -- and we will have chemical-specific
24 information about the toxicity of the chemical. And to
25 the extent that we can, I think the emphasis should be on

1 potential exposure to that chemical, how is the
2 exposure -- how does exposure to that chemical occur, and
3 to help emphasize, you know, lowering exposures where that
4 is possible.

5 So I think that's fine. I mean, I don't think
6 you can go beyond what you actually know. And I don't
7 think we should go beyond what we actually know. And most
8 people are satisfied with that.

9 I mean, that is not -- it's been my experience,
10 anyway, that people don't become really frustrated and
11 irate because you can't say, "Oh, yes, you will get cancer
12 in 10 years", or whatever, or "This will be the outcome".

13 I think it's very appropriate to stay within the
14 bounds of the toxicity concern and to help ferret out
15 whether or not the person -- and if it's in your body, you
16 obviously have exposure of some kind, depending on the
17 level.

18 But just saying where -- how that exposure might
19 occur and what you might do, as some of the speakers said,
20 to reduce that exposure, I think, is going -- in most
21 cases, is going to be okay and is where we should be.

22 CHAIRPERSON LUDERER: Dr. Kavanaugh-Lynch.

23 PANEL MEMBER KAVANAUGH-LYNCH: So I'm not sure I
24 understand the precedent-setting question. Can you state
25 that in a --

1 PANEL MEMBER BRADMAN: If results were returned
2 to an individual and there was an interpretation like this
3 is safe or unsafe or of concern or not concern, then the
4 number, the cutoff, the threshold that was used to make
5 that conclusion could then become de facto a reference
6 number or a benchmark or a point of departure in a risk
7 assessment context outside of the Program, and without
8 having received, you know, sufficient scrutiny and review,
9 that it might deserve if it was being used in that
10 context, or outside that context, I guess I should say.

11 PANEL MEMBER KAVANAUGH-LYNCH: Okay. Well, I
12 think I agree with everyone else who's spoken thus far, is
13 that stating the risk associated with levels is not in the
14 purview of this Program and shouldn't be done, except to
15 reference known reference levels from others.

16 CHAIRPERSON LUDERER: I mean I think overall
17 there seems to be -- I think there's a consensus on the
18 Panel that the summary, you know, that you provided, I
19 think, that -- and the direction that the Program is going
20 in is one that we're all in agreement with. And certainly
21 I think everyone on the Panel has commented that setting
22 reference level or health limits should not be a main
23 focus of the Program, and really it's not within the
24 legislative mandate of the Program, I would say.

25 Do we have other comments?

1 OEHHA ACTING DIRECTOR ALEXEEFF: Sorry, I have a
2 comment.

3 Well my comment is that with regards to the
4 reference levels and that sort of thing. Since it's a --
5 basically a State program, and that we're focusing on
6 smaller samples right now, I think the intention is
7 providing -- advising information to an individual is much
8 different than trying to reduce a population-wide
9 exposure. And I think we're thinking about
10 population-wide exposures, and trying to reduce them.

11 So that should be, I think, more the focus. And
12 if we were able -- you know, like, for example, even the
13 lead studies, we were able to find the neurological
14 effect, because you have a large population with a lot of
15 information, that's going to be very, very rare, and
16 instead you'd be dealing with very small bits of
17 information and trying to come up with a level that
18 probably would not be overall good for the whole society
19 to be at that level.

20 CHAIRPERSON LUDERER: Dr. Quint.

21 PANEL MEMBER QUINT: This is Julia Quint. I'm
22 not sure we satisfied Asa's -- Dr. Bradman's -- that we
23 answered to your satisfaction. So why don't you give us
24 some feedback on that.

25 PANEL MEMBER BRADMAN: No, I think you did. I

1 think Dr. Solomon maybe perhaps reinforced what I said.
2 But again, my point is that if there was a health
3 interpretation that was provided when individual results
4 were returned back, could that then -- I would be
5 concerned if that then could become a precedent for other
6 benchmarks or point of departures or other risk assessment
7 guidelines in other programs, like in other pieces of
8 OEHHA, for example, where they set reference doses or an
9 NSRL or an MADL. And then that could become a -- it could
10 be -- it could become a fight within the biomonitoring
11 program to set a standard. Am I being clear here?

12 In other words, I'd be concerned that somebody
13 could come to another program and say, "Hey, you said this
14 was safe to these individuals", therefore there's a
15 precedent here.

16 And in another program, you may or may not come
17 up with that level with a more thorough review.

18 And number two, then -- if those kinds of
19 decisions were being made within the Biomonitoring
20 Program, I'm concerned that it could politicize or
21 complicate the process of returning results, because then
22 there would be a lot of interest groups that would want to
23 weigh in on how that was established.

24 So I want to just be sure that my recommendation
25 is that the possibility for that be separated from the

1 Biomonitoring Program, the possibility for politicization
2 or the kind of scrutiny and debate that actually goes in
3 to setting an accepted benchmark dose or threshold.

4 Is that clear?

5 PANEL MEMBER QUINT: Right.

6 CHAIRPERSON LUDERER: Dr. Quint.

7 PANEL MEMBER QUINT: This is Julia Quint. It
8 just prompted another question for me. So are we, in
9 returning results, did -- because I don't remember the
10 exact presentation format that Dr. Morello-Frosch and
11 Holly presented, but are we going to have thresholds of
12 safe and not safe? I mean, how are we -- is there -- will
13 there be an attempt to compare levels to reference doses
14 and that sort of thing?

15 I didn't think we were exactly heading in that
16 direction, but I may be not remembering correctly.

17 DR. DAS: Rupa Das, California Department of
18 Public Health.

19 So to answer your question, Dr. Quint, the
20 template that Dr. Morello-Frosch provided is a guide, and
21 we will probably embellish it. So that's not the final
22 word.

23 But the plan right now is to provide the levels
24 of the individual participant compared to the levels found
25 in that particular study population, and to compare it

1 with the NHANES number or another population -- relevant
2 population. But those are the populations we're focusing
3 on right now.

4 And if there were values available, such as this
5 morning I presented the notification level for mercury,
6 that is established by CDC, we would consider putting that
7 in. But at this point, our focus is not on developing
8 health-based levels, beyond what's already been
9 established by other agencies.

10 PANEL MEMBER BRADMAN: Yeah. And I think that's
11 what we agree with. Yeah. But my concern is that just
12 that we kind of state that clearly. And this came up, you
13 know, last year.

14 And then also that, for example, the presentation
15 on the use of biomonitoring equivalents, I think that kind
16 of analysis can be done at the population level, but not
17 at the individual level.

18 PANEL MEMBER QUINT: Right. This is Julia Quint
19 again. I think that's a valid point, because, as I
20 understand it, from the presentation, these -- you know,
21 there are contracts with other programs like Health Canada
22 and maybe some European countries too, have on the
23 website, you know, an analysis of biomonitoring results
24 and to compare them with reference doses. So far, the
25 biomonitoring results are well below any reference dose.

1 So most of their data seems to indicate that, you know,
2 everybody is at a safe level, you know.

3 And so I think there might be an opportunity for
4 people to look at whatever is put on these websites and to
5 maybe make those comparisons. But I think we should make
6 it clear that that is not the direction; that, you know,
7 there are issues with those. And a lot of these were
8 brought out by Dr. Hattis and other people.

9 So I think that maybe we have to have some overt
10 statement to that effect, that this is not our
11 interpretation of how the data should be used. Maybe we
12 should be, you know, not just have the workshop and have
13 this as, you know, we're heading in the right direction,
14 but maybe say something more affirmatively about the fact
15 that, you know, we don't necessarily agree on an
16 individual level that you can use reference doses and --
17 you know, you make -- that biomonitoring equivalents is
18 not our interpretation of how you decide what's safe and
19 not safe.

20 Because I think, as far as I could see from the
21 presentation, that these -- the information that would
22 appear on websites is geared, not only towards
23 individuals, but to physicians as well. So I think, you
24 know, your concern, Dr. Bradman, that you brought up, I
25 think is a legitimate one. So how can we separate

1 ourselves from that, I think is an issue.

2 CHAIRPERSON LUDERER: Sara.

3 MS. HOOVER: Sara Hoover, OEHHA.

4 I just wanted to follow up with a couple comments
5 on it.

6 First, Lesa Aylward, who, you know, works on
7 biomonitoring equivalents made it very clear, and I have
8 it in the summary, that they're not intended for
9 individual interpretation. They never have been, and the
10 only thing that these are doing is they're translating
11 existing risk assessments, with all of the attendant
12 problems, into levels that are consistent in blood or
13 urine.

14 So the website that they were talking about
15 really was their realization that the BEs are not useful
16 for individual risk interpretation, and that they were
17 going to try to gather, you know, any other kinds of
18 information that might be useful for physicians. So
19 they're -- in fact, they're having a workshop maybe this
20 week to talk about that

21 So, yeah, I don't know that you need to make an
22 overt statement. I think we're very clear about what
23 would be appropriate -- you know, an appropriate use of
24 the kinds of information, like Dr. Das referred to the
25 mercury level. There's also an existing level of health

1 concern for lead. You know, there's certain things. So I
2 see it more, and the way that we've approached it more, is
3 looking at what existing guidance is out there and how --
4 you know, how does that need to come into the Program, not
5 we're going to start trying to create new things for this
6 specific purpose.

7 But I did also want to mention that, you know,
8 for example, and I think Dr. Das mentioned this as well,
9 that -- and I was trying to allude to this. I didn't go
10 into a lot of detail, but we also might do a
11 publication -- you know, we might do an analysis and look
12 at these kinds of things and look at the limitations, you
13 know, and do our own kinds of analyses.

14 So I don't think we want to say like a complete
15 restriction on what the program is going to do, but I
16 think we're very clear on the guidance you've given us and
17 the guidance we got from the workshop, and also how the
18 program interacts with other programs in OEHHA and other
19 programs in the State. I feel like we're pretty well
20 grounded in how we're viewing it.

21 I don't know Lauren or George want to add
22 anything.

23 DR. ZEISE: No. And it is pretty clear that we
24 did hear some major limitations in some of the translation
25 of reference levels into biomonitoring levels. So I think

1 in the back of our minds, there's an extensive analysis
2 that would have to take place. And again, you've given us
3 pretty clear direction that this shouldn't be the focus of
4 our efforts. We have so many other things to do.

5 CHAIRPERSON LUDERER: Any additional discussion
6 from the Panel?

7 Okay. I think we can move on to our next item
8 then. So the next item on the agenda is a discussion of
9 by the Panel of input that we may --

10 MS. DUNN: Dr. Luderer, I'm not sure if we asked
11 for public comment at all.

12 CHAIRPERSON LUDERER: I'm sorry. I forgot. Do
13 we have any public comment?

14 Davis Baltz from Commonweal. I apologize for
15 having skipped over that.

16 MR. BALTZ: Davis Baltz, Commonweal.

17 Thank you, Sara, for that great summary of the
18 workshop. And I just want to reiterate what I've heard
19 here that I attended the workshop and I think it was a
20 pretty clear message that the Program -- the feedback to
21 the Program was that it would be inappropriate to sort of
22 start to take it into an assessment of risk.

23 There is a lot of thorny issues about
24 communicating results, and I think the Program is
25 grappling with them very competently. But to step beyond

1 the mandate from the statute itself, I think would slow
2 the Program down and would also -- it's just not something
3 that's mandated in the legislation.

4 You know, to try to assign a health -- a level of
5 health concern to biomonitoring data, there's so many
6 variables that would be difficult to control for, as
7 everyone here knows, what the timing of exposure, the life
8 stage that you're exposed, you know, even something like
9 body weight or gender could obviously have an impact as
10 well.

11 So I just -- my message is that when the Program
12 was still a bill in the legislature, I think it was very
13 clear that it was intended to be a program to gather
14 scientific data and to publish it, and then let the
15 conversation happen afterwards about how -- what steps
16 should be taken to -- and how to use the data.

17 I think it would be a mistake for the Program to
18 start to attach any kind of risk assessment discussion.
19 And that said, as the individuals are notified of their
20 results, the things that you've mentioned that it will be
21 important to state the comparison with the NHANES data,
22 which is not a health concern -- it's not a level of the
23 health concern, that they can see how they compare with
24 other Americans who've been biomonitored for similar
25 chemicals.

1 For those groups that want to have more
2 information, that they don't feel they get from the State,
3 I think NGOs can play an important role, since there have
4 been a number of community-based studies, not only in
5 California but across the country where people have
6 volunteered to be part of a biomonitoring study, and they
7 have not panicked. They have taken in their results, and
8 it's generated a lot of important discussion in those
9 communities about what it means and what they should do
10 next.

11 And to the degree that people who receive the
12 results from the State Program are needing more
13 information, I think Commonweal and others who have been
14 involved with biomonitoring with communities would be
15 happy to try to step in and help shoulder some of the
16 conversation. It's not something that the State is going
17 to be able -- probably to satisfy everyone to the degree
18 that they'd like.

19 So just to sum up and say one more time, and I've
20 said this before, you've heard me, let's keep the focus on
21 having impeccable science, generating biomonitoring data
22 that will be useful to the State. You know, let's keep an
23 eye on special exposures that we have in California, keep
24 an eye on trends over time, when we have the funds we'll
25 do the statewide statistically significant sample, let's

1 watch how special exposures in highly exposed groups and
2 populations are unfolding, and then compare our trends, as
3 we do them over time, with the policies that are developed
4 to reduce exposure and see if they're working.

5 So I second the comments of the Panel as well as
6 the summary that you made, Sara, to keep the focus on
7 generating data and publishing it, and let the
8 conversation about risk or health concern happen at
9 another time in another forum.

10 CHAIRPERSON LUDERER: Thank you very much for
11 your thoughtful comments.

12 And I apologize again for having forgotten to
13 take public comments. So we'll now move on to the next
14 item --

15 MS. HOOVER: Actually, Dr. Luderer --

16 CHAIRPERSON LUDERER: Yes.

17 MS. HOOVER: -- can I just say one last thing.

18 I just also wanted to really acknowledge Amy
19 Dunn, because she was a big -- played a big role in
20 pulling that workshop summary together. So thanks a lot,
21 Amy.

22 CHAIRPERSON LUDERER: Thank you.

23 So the next agenda item is some discussion from
24 the Panel regarding potential input that we might want to
25 provide for the upcoming 2012 program legislative report.

1 So just to give some background, Biomonitoring
2 California is required to submit a progress report to the
3 Legislature every two years, and this is made available to
4 the public within 30 days of providing it to the
5 Legislature.

6 And there was a link to the first report that was
7 due on January 1st, 2010 that's on the website. And the
8 Panel -- it was also Emailed again to the Panel.

9 And the next one is due on January 1st 2012. And
10 the Program staff are currently working on that 2012
11 report. So the 2012 report will give updates on various
12 aspects of the Program, including resources, guidance
13 provided by the SGP, chemical selection, current
14 biomonitoring projects, best practices for results
15 communication, efforts towards biomonitoring a
16 representative sample of Californians, progress made by
17 program laboratories towards analyzing selected priority
18 chemicals and public participation activities.

19 So in the fall of 2009, Dr. Ed Moreno, who was
20 the Chair of the Scientific Guidance Panel at the time,
21 submitted a letter on behalf of the Scientific Guidance
22 Panel to the Director of the California Department of
23 Public Health with recommendations regarding ongoing and
24 future efforts of the Program.

25 And these Panel recommendations were included in

1 the 2010 legislative report. And at the time, just to
2 summarize what the Panel recommended, they recommended --
3 or we recommended that the Program identify resources to
4 fully fund the Program, and implement the statewide
5 survey; continue to pursue external funding and seek ways
6 to leverage existing resources; continue to support
7 activities specified in the CDC cooperative agreement to
8 increase laboratory capability and capacity; continue
9 efforts to engage additional stakeholders; maintain and
10 expand electronic resources, such as website, webcasting,
11 audiocasting of Scientific Guidance Panel meetings, and
12 increasing listserv members; and continue to meet with the
13 SGP three times a year, continue to support the SGP in
14 selecting, designating, and prioritizing chemicals for
15 biomonitoring; and continue to develop results
16 communication methods and materials.

17 So the purpose of the current agenda item is to
18 discuss whether the Panel would like to prepare a letter
19 with recommendations to the Program for the 2012
20 legislative report, and if so, what recommendations should
21 be included.

22 And then in terms of logistics, I would use the
23 discussion from the Panel today to prepare the letter and
24 send it to the Program. Because of the Bagley-Keene
25 requirements, we would not be able to send the letter

1 around to the Panel for review.

2 So with that, I'd like to maybe start a
3 discussion on the Panel of some of the things that --
4 recommendations that Panel members would like to include
5 in the letter, also get the Panel's thoughts on whether
6 they're in favor of such a letter.

7 And I just thought maybe I could start out by
8 mentioning some of the things earlier in the discussion
9 today that the Panel had recommended that we might want to
10 consider including in such a letter.

11 So Panel members had discussed earlier this
12 morning the importance of prioritizing chemicals for
13 methods development and analysis. And that was something
14 I believe Dr. Solomon had mentioned, that that -- and
15 other Panel members had agreed to have some additional
16 discussion of that. Particularly, I think it was related
17 to Dr. Petreas' presentation this morning.

18 Screening of unknowns is another recommendation
19 that the Panel has made several times. And we heard this
20 very exciting presentation this afternoon, and actually
21 did make a formal recommendation about the idea of using
22 TOF or QTOF for prioritizing chemicals for designation and
23 selecting designating prioritize -- designated and
24 prioritized chemicals for development as a method.

25 So those are some of the ideas and

1 recommendations that were already discussed today. And I
2 thought we could have a Panel discussion about additional
3 thoughts, and also about those thoughts.

4 Any --

5 CHIEF COUNSEL MONAHAN-CUMMINGS: Dr. Luderer,
6 just a point of clarification. Since there is one more
7 meeting of this Panel before the report is due, you could
8 probably draft something, share it with the Committee for
9 the next meeting, and then have a meeting -- you know, the
10 Committee discuss it, and, you know, say if there needs to
11 be a change or whatever. So that's a possibility, but I
12 don't know how soon the report has to get finished.

13 DR. DAS: It would be a good suggestion, except
14 that we need to have the report go up our chain of
15 approval well before the next Panel meeting, probably
16 about mid-September is what we're aiming for.

17 CHAIRPERSON LUDERER: Okay.

18 CHIEF COUNSEL MONAHAN-CUMMINGS: But does that
19 letter have to be with that draft, do you think?

20 DR. DAS: The last report included the letter as
21 an appendix. And we referred to the recommendations made
22 in that letter in the report.

23 CHIEF COUNSEL MONAHAN-CUMMINGS: There you go.

24 (Laughter.)

25 CHAIRPERSON LUDERER: Any thoughts from the

1 Panel?

2 Dr. McKone.

3 PANEL MEMBER MCKONE: Well, actually I want to
4 clarify. So basically we have to craft the key ideas and
5 the letter has to be drafted, and we just say yes or no.
6 We can't really go through -- we can't, as individuals,
7 say well could we revise this language? There's no
8 opportunity then to -- I mean --

9 CHIEF COUNSEL MONAHAN-CUMMINGS: Well, the issue
10 is -- can you hear me?

11 The issue is that under the Bagley-Keene Act,
12 which is really not -- it's kind of awkward, but it's
13 intended to make sure that the public is involved in
14 deliberations and decisions made by these types of
15 committee.

16 You're not supposed to do what's called a serial
17 meeting. And one of the ways that you could do a serial
18 meeting was to -- would be to send something around and
19 have everybody comment on it. Even if it was up or down,
20 that would still be a decision-making type process or
21 deliberation.

22 So in order to avoid that, last time, what we did
23 is, you know, the group gave input to Dr. Moreno, and then
24 he just drafted something up, assuming that he had
25 captured your ideas. And you got it about the same time

1 as it went to everybody else.

2 So it's awkward, but it's probably the best way,
3 if we can't get another, you know, public meeting of some
4 sort before it's really needed over at DPH.

5 CHAIRPERSON LUDERER: Dr. Solomon.

6 PANEL MEMBER SOLOMON: I feel completely
7 comfortable, you know, having Dr. Luderer draft a letter
8 on our behalf after our discussion today.

9 But I also have a question, because there are
10 several different possible audiences for such a letter.
11 The previous letter was addressed to the DPH -- Department
12 of Public Health Director.

13 We could presumably so address the current letter
14 or -- you know, or in addition, we could send a letter to
15 others, such as the Governor or the Legislature directly.
16 And so for -- and if it were the latter, then that letter
17 could go in at the same time as the report from the
18 Program. It wouldn't have to go into the Program and then
19 sort of be appended. So we could think about whether it
20 would be more -- whether we would have more or less
21 impact, I guess, with a letter addressed to the DPH
22 Director versus the Legislature directly or the Governor
23 or both.

24 CHAIRPERSON LUDERER: Dr. Quint.

25 PANEL MEMBER QUINT: This is Julia Quint.

1 As I recall, the last time we wrote a letter, I
2 thought there was some barrier to sending it directly to
3 the Legislature. I thought we sent it to Dr. Horton
4 because we were not allowed to communicate directly, but I
5 may be in error about that.

6 But I had a similar question about to whom we
7 should address the letter, and why the Department of
8 Public Health, when it's actually three programs involved,
9 because they're the lead agency?

10 DR. DAS: Um-hmm.

11 MS. HOOVER: Actually, the letter went to all
12 three, I think.

13 DR. DAS: But it was addressed to Mark Horton.

14 MS. HOOVER: And just CC'd -- well, actually, I
15 think he actually did three letters, the same letter to
16 the three heads of the Departments. But I think, you
17 know --

18 PANEL MEMBER QUINT: We could it anyway --

19 MS. HOOVER: Yeah, I mean, I think that they
20 could choose to send a letter directly, right?

21 DR. DAS: Yeah.

22 CHIEF COUNSEL MONAHAN-CUMMINGS: Yeah, I don't
23 think there's any limitation that I'm aware of that a
24 Committee or individuals on a committee can't communicate
25 directly with the Governor or the Legislature on issues.

1 And particularly, the Governor, given he, or least the
2 prior one, appointed you all, should have an interest in
3 his program anyway, and so you could do that.

4 You know, it's kind of up to you in terms of who
5 you want to actually address it to, and who you want to CC
6 it too. But, you know, the information does need to go to
7 the Program. And it is managed by DPH, and then, you
8 know, with input from DTSC and ourselves.

9 But, you know, it is kind of a weighing thing.
10 But a lot of letters, such as this, end up just CCing like
11 the Governor and a couple of legislators that might have
12 an interest in it. And I don't see that there's any
13 prohibition to doing that.

14 PANEL MEMBER QUINT: Well, if that's the case, I
15 would strongly recommend -- I agree with sending a letter.
16 And I think we should send it -- because this is a new
17 administration who may not be familiar -- as familiar with
18 this Program as the last administration, I think that it
19 should go to the Governor and to keep members of the
20 Legislature in addition to the Program heads, however
21 that's done.

22 And, you know, in terms of the -- I'm usually
23 very loud.

24 So in terms of the content of the letter, in
25 addition, I noticed in the last report that all of the

1 recommendations from the SGP were listed as a separate
2 appendix. As I recall, there was an appendix -- so from
3 each meeting, the recommendations of the SGP were listed.

4 So in this letter I think a strong emphasis on
5 outstanding job, which we've said continually -- I mean,
6 at every meeting. I think -- and, of course, I'm sure
7 that would be included.

8 But I think to emphasize not only the outstanding
9 job, but the follow-through on the way the Program has
10 used existing resources and obtained new resources, new
11 collaborations to move the Program forward, in spite of
12 the budget limitations, which has not allowed us to do the
13 representative -- I mean, however that's said, but to
14 really strongly emphasize the amazing progress, and that
15 we've made -- that the Program has made, you know, to
16 overcome the lack of resources. And some strong
17 endorsement of -- you know, I'm not sure it's appropriate
18 in this budget climate to restate that we need full
19 funding for the Program. I'm torn about that.

20 I think that should be stated, that, you know, we
21 don't have the funding to do the represent -- the
22 statewide sample, but a strong recommendation to continue
23 the funding at the level with the -- I forgot the name of
24 the fund we're using, but to at least continue full
25 funding at the level that we have, I think, should be a

1 very strong endorsement.

2 In other words, I'm looking at the letter as more
3 concentrated on introducing this program and its
4 accomplishments, and the Panel's strong, you know,
5 recommendation of the quality of the Program, and with
6 some emphasis on funding. And so not a lot of specific
7 recommendations, which I think can be referred to in the
8 other appendix, you know, because I think that will be
9 lost, but just recommendations of, you know, endorsements,
10 and, you know, more of the same and more funding.

11 CHAIRPERSON LUDERER: Dr. Bradman.

12 PANEL MEMBER BRADMAN: I just wanted to echo some
13 of the things Julia said. She actually went down my list.
14 Some of the things I think to cover would be definitely
15 commending the Program, and specifically whether we want
16 to get into details or not. I think building the
17 laboratory has been an important accomplishment, in terms
18 of personnel and space and effort.

19 Also, successful implementation of the CDC
20 cooperative agreement, and the importance of that external
21 resource to build the California program. Participating
22 in and completing the pilot studies. Also, very
23 successfully, and I think effectively, engaging the public
24 on -- you know, that was a key part of the legislation. I
25 think the Program has lived up to that.

1 You mentioned the funding limitations. Also,
2 perhaps reviewing the last letter and highlighting, you
3 know, points that are still relevant, and, you know,
4 making sure that any issues there, you know, continue to
5 be brought to the attention of the recipient.

6 CHAIRPERSON LUDERER: Dr. Quint.

7 PANEL MEMBER QUINT: Julia Quint.

8 I just want to add one more thing that we should
9 have a strong statement about filling vacant positions and
10 the importance of, you know, the unfilled vacancies and
11 having full Program -- I mean, full staffing for the
12 Program. I think that should be stated in the letter.

13 CHAIRPERSON LUDERER: Other recommendations,
14 thoughts from Panel members?

15 I could maybe review just what I have heard from
16 people so far. I think there was consensus to send -- to
17 address the letter to the heads of the three Departments,
18 but then also to CC the Governor, and we talked about
19 some -- also, potentially legislators. We haven't talked
20 about which legislators. That may be something we want to
21 still discuss.

22 We're going to, in the letter, talk about the
23 outstanding job that the Program has done with all the --
24 despite the limited resources, and identifying
25 collaborators, identifying sources of funding, being able

1 to move the Program forward in multiple areas, including
2 the lab capacity and personnel, in terms of the CDC
3 cooperative agreement and the other pilot studies, and in
4 their efforts to continually engage the public
5 successfully.

6 We also would like to strongly endorse in the
7 letter the idea that once the economy improves that the
8 Scientific Guidance Panel feels that in order to really be
9 able to accomplish the mandate of the law of having a
10 representative sample of California residents, that full
11 funding for the Program, at such a time when that is
12 possible, really should be the direction in which we go.
13 And that currently at least, that the funding level be
14 maintained at the level that it has been funded -- at
15 least at the level at which it has been funded in the past
16 year.

17 And we also would recommend that the open
18 positions that are currently -- the various labs and other
19 program open positions, that they be filled, and that
20 exemptions from the hiring freeze be obtained for those
21 positions.

22 Did I capture -- Gina. Dr. Solomon.

23 PANEL MEMBER SOLOMON: Yeah, great job.

24 Just a couple tiny details. One is I think it
25 actually might be useful to include a few bullets just

1 summarizing the pilot studies, so that, you know, just,
2 you know, study on firefighters in Orange County, study on
3 pregnant women in San Francisco, Kaiser members in the
4 Central Valley, so that they get a -- just a very -- you
5 know, even if they don't read the report, they get a quick
6 blurb on that.

7 And then the other thing is it might be good to
8 end with an offer to meet with them and brief them further
9 about the Program, if they're interested in learning more.
10 And, you know, I think we could talk about the -- you
11 know, whether we could follow up on that and potentially
12 try to provide some briefings, because I think it might be
13 a good time to do that.

14 CHAIRPERSON LUDERER: Any other comments,
15 suggestions?

16 Sara.

17 MS. HOOVER: I just wanted to share a couple
18 things that I just checked with Carol on. One is if you
19 wanted to send it to like one other Panel member to help
20 you edit it, that would be fine.

21 The other thing is, is I think there was interest
22 expressed in sending it straight to the Governor and the
23 Legislature, and that is fine. And you can -- she would
24 suggest just CCing the heads of the agencies involved. So
25 like the head of Cal/EPA, the head of CDPH, and, you know,

1 you could also CC the three department heads. So you
2 could angle it that way, where you address the letter to
3 the Governor and key members of the Legislature, that
4 would be fine, and CC the appropriate agency and
5 department heads.

6 CHAIRPERSON LUDERER: Is there any thoughts from
7 the Panel about the two -- you know, the different
8 approaches of addressing the letter, sending it directly
9 to the Governor and the legislators versus the Department
10 heads the way it was done last time?

11 PANEL MEMBER MCKONE: The last time it was sent
12 to the head of the Department --

13 CHAIRPERSON LUDERER: It was sent to the three
14 departments. Sara clarified that. Not -- we only got the
15 one, but they were the same letter sent to the different
16 departments.

17 PANEL MEMBER MCKONE: So the alternative would
18 just be bypass and go right to the -- I mean, I don't
19 know. I think if we really want to -- I mean, it will go
20 to the department heads if we send it higher?

21 CHAIRPERSON LUDERER: You mean if we would CC it,
22 certainly.

23 PANEL MEMBER MCKONE: Yeah, CC it. But it
24 basically -- I do think the point about drawing attention
25 to the Program to the new administration -- it is a new

1 administration, the legislature always seems to be new.
2 Because of term limits, there's so many new people. So, I
3 mean, it might be nice to target it there just to keep
4 drawing attention to the value of the Program, unless
5 that's -- unless there's some political problems, but I
6 don't see why it would.

7 CHAIRPERSON LUDERER: Are there any -- do any
8 people have thoughts about specific legislators that you
9 think would be receptive or would be good to let know.

10 PANEL MEMBER SOLOMON: Well, our Panel is
11 appointed by the Governor and the Senate Pro Tem and the
12 Speaker of the Assembly. So those three would probably be
13 the primary addressees. And then CC's, I guess, could go
14 to the secretary level at CalEPA and at HHS, as well as
15 the Department heads.

16 And then I guess we'd need to check on whether
17 there are specific legislators that would be particularly
18 interested, but it seems like the heads of the Health
19 Committees in the Senate and the Assembly, as well as the
20 environment -- various environment committees would also
21 be, so that would be four additional people.

22 CHAIRPERSON LUDERER: And I don't -- what about
23 any of the legislators that were involved in really
24 putting the bill forward, are they still in the
25 Legislature or are they --

1 DR. DAS: (Shakes head.)

2 CHAIRPERSON LUDERER: They're all termed out?

3 DR. DAS: They're termed out.

4 CHAIRPERSON LUDERER: Okay. Any other
5 discussions or recommendations?

6 All right.

7 PANEL MEMBER BRADMAN: I mean, I would agree that
8 sending it to the administration and legislative level's
9 also a good idea just to get it more widely distributed.

10 PANEL MEMBER MCKONE: I mean, I'm just curious, I
11 mean, none of us were appointed by the current Governor,
12 right? Do we need to explain a bit about what -- I mean
13 in theory Schwarzenegger had to know something about it or
14 somebody did, because somebody on his staff made
15 appointments. But does that -- do we need to sort of draw
16 attention about this Program and make it clear that this
17 Committee as -- maybe somewhere in the preamble just, in
18 case they forgot, how this Panel was appointed.

19 PANEL MEMBER KAVANAUGH-LYNCH: I might suggest
20 the Chairs of the Budget Committees also.

21 CHAIRPERSON LUDERER: Nice. Okay. Anymore
22 discussion on that topic or we can move on to our final
23 public comment period.

24 MS. DUNN: Dr. Luderer, were you going to take
25 public comment on this item.

1 CHAIRPERSON LUDERER: Or comment period on that.

2 Yeah, then I guess we have another comment period
3 after that.

4 Do we have any public comments on the present
5 discussion.

6 Davis Baltz, Commonweal.

7 MR. BALTZ: Davis Baltz Commonweal.

8 I mean, in addition to all the good suggestions
9 that have been put forward already that call attention to
10 the significant achievements of the Program and the
11 resourcefulness in accessing other funds, I think given
12 the budget situation, if there's a skillful way to weave
13 into this letter and/or report how biomonitoring can save
14 public institutions valuable resources I think would be
15 worth including.

16 Whether we can talk to CDC colleagues and get
17 some specific examples, I know Dick Jackson used to talk
18 about this case in Mississippi where they were illegally
19 using an outdoor pesticide indoors. And because they
20 could go in quickly and biomonitor and figure out exactly
21 where it had been applied, and evacuate those who needed
22 to be and calm the panic elsewhere, that one incident
23 saved the State of Mississippi \$50 million.

24 So the point is, is that biomonitoring has the
25 potential to save California millions of dollars in

1 avoided healthcare costs, and environmental remediation.
2 And it's hard to, you know, put a new line -- you know,
3 put new resources in a budget when resources are scarce.
4 But if the case can be made that it's going to save money
5 down the line, it's worth mentioning.

6 And in addition, as was said in the letter to Dr.
7 Horton in 2009, the enhanced lab capacity that the State
8 has now offers the potential to, you know, be a revenue
9 generator by taking on projects that previously it
10 couldn't do because it didn't have the infrastructure.

11 So I think that could be worth adding to the
12 letter. And if it's appropriate, and letters from
13 community stakeholders would be helpful, I think several
14 of those could be generated. Certainly, Commonwealth would
15 be willing to write one.

16 CHAIRPERSON LUDERER: Thank you.

17 Okay. We actually are moving on to another
18 public comment period. We have at the end of the meeting
19 now an open public comment period. There are actually 20
20 minutes allotted, but we're a little bit ahead of
21 schedule, so we could potentially have a little bit longer
22 public comment period, during which commentators can --
23 commenters can speak on any topic related to the
24 California Environmental Contaminant Biomonitoring
25 Committee Program.

1 And so do we have any Emails?

2 Okay. And do we have any speakers who are
3 present who want to speak?

4 All right. We have a comment that was submitted
5 via the web. This is from Vivian Parker, who says that
6 she is a retired biologist.

7 Her comment, "Dear staff and advisory board
8 members at OEHHA, I would like to ask you to
9 consider biomonitoring for forestry herbicide
10 uses in the rural counties of northern
11 California.

12 "Each year approximately 200,000 pounds of
13 pesticides, primarily herbicides, are applied to
14 the watersheds and headwaters of streams, which
15 supply 80 percent of California's drinking
16 water." And this is data from the Department of
17 Pesticide Regulation, CalEPA. "These chemicals
18 are frequently applied aerially, where they can
19 easily drift into streams and contaminate
20 groundwater as well.

21 "Herbicides used for forestry are used in
22 rates and concentrations that are toxic enough to
23 kill hardwoods like oaks, and brush species, such
24 as deer brush and manzanita. Several of these
25 chemicals are known groundwater contaminants

1 (atrazine, 2,4-D, hexazinone, triclopyr, and
2 imazapyr).

3 "The chemicals primarily used are glyphosate,
4 hexazinone, 2,4-D, triclopyr BEE, imazapyr, and
5 atrazine. Very little is known about the health
6 effects of hexazinone, (a triazine-type
7 herbicide) triclopyr or imazapyr.

8 "Residents living adjacent to industrial
9 timberlands near Triangle Lake on the coast of
10 Oregon west of Eugene were recently tested for
11 atrazine and 2,4-D in their urine, and all 21 of
12 the citizens tested were found positive.

13 "As result, the State Department of
14 Agriculture has launched an investigation. You
15 can read more at these web sites:
16 www.registerguard.com. I believe that it is very
17 likely that residents in many rural counties in
18 California adjacent to industrial timber
19 operations are also receiving regular exposure to
20 forestry chemicals, and there's no oversight of
21 this by any State regulators. There are also
22 high cancer rates in many of these counties
23 compared to other regions of the State.

24 "Counties with the highest forestry herbicide
25 use are Humboldt, Shasta, Tuolumne, and these

1 also had the highest cancer rates in the State
2 and in the years 2002 to 2006 data from the
3 California State Cancer Registry.

4 "Thank you for all of your good work to
5 protect our health and the environment".

6 And I'd like to thank the commenter for that
7 input.

8 Do we have any other comments, from the Panel,
9 from the public?

10 Dr. Solomon.

11 PANEL MEMBER SOLOMON: Yes. I spoke with Ms.
12 Parker or Dr. Parker yesterday. And so, you know, her --
13 and I encouraged her to submit this comment to the entire
14 Panel for discussion. And I also checked out the links
15 that she included in her comment. Actually kind of
16 interesting.

17 The small study in Oregon that she referred to
18 was actually conducted by Dr. Dana Barr, who many of us
19 know who used to be at CDC. And Dr. Barr pointed out that
20 2,4-D and atrazine are actually rarely found in the NHANES
21 population. And so the fact that the levels were, you
22 know, detected in this -- although it was a small study
23 that, you know, in this population, it was kind of
24 notable. And I thought so too.

25 And the other point that Ms. Parker -- or Dr.

1 Parker made. I'm not sure if she's a Ph.D. Biologist,
2 but -- is that the triazine herbicides that are being used
3 have actually been shifting. So atrazine, for example,
4 has been used less and has been replaced to a significant
5 degree by other triazine herbicides that are less well
6 studied, less well understood.

7 And that comes back to some of the things that
8 we've looked at as a Program before, where we're kind of
9 looking at patterns of chemical use and how they're
10 changing in the state of California.

11 And, you know, certainly some of the pesticides
12 that she lists are already designated chemicals, because
13 they're part of the NHANES program, 2,4-D and atrazine
14 being examples, but some of the ones that she mentioned
15 are not part of NHANES.

16 And so I would like to suggest that we put that
17 list of chemicals in for potential -- you know, for
18 evaluation as potential priorities and potential
19 designated chemicals for our Program, and -- because we
20 should know -- I realize, as a Committee, we've focused on
21 the agricultural use of pesticides, and to some degree on
22 the household uses, but forestry and right-of-way uses are
23 also quite important.

24 CHAIRPERSON LUDERER: Any other comments from
25 Panel members or from the public?

1 Sara.

2 MS. HOOVER: Yeah, I just wanted to mention that
3 we separately received the comment, and we've already put
4 these in the bin for screening, so we'll be starting on
5 that.

6 CHAIRPERSON LUDERER: Okay. If we have no
7 additional comments from the public or from the Panel
8 Members, I guess we're finishing a little bit earlier here
9 today.

10 And so what I want to do then is to remind
11 everyone that there is going to be another Scientific
12 Guidance Panel meeting held in Sacramento and that will be
13 on November 10th. So that will be our next meeting.

14 And with that, then I would like to adjourn the
15 meeting. Thank you all again for coming. Thank the staff
16 for all their amazing work and all the exciting progress
17 they shared with us today, and see you all November 10th.

18 Thank you.

19 (Thereupon the California Environmental
20 Contaminant Biomonitoring Program, Scientific
21 Guidance Panel meeting adjourned at 4:24 p.m.)

22

23

24

25

