

WORKSHOP
STATE OF CALIFORNIA
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
ENVIRONMENTAL CONTAMINANT BIOMONITORING PROGRAM

ELIHU M. HARRIS STATE OFFICE BUILDING
AUDITORIUM
1515 CLAY STREET
OAKLAND, CALIFORNIA

THURSDAY, MARCH 17, 2011

9:08 A.M.

JAMES F. PETERS, CSR, RPR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

APPEARANCES

SPEAKERS PANEL

Dr. Lesa Aylward, Summit Toxicology
Dr. Tina Bahadori, American Chemistry Council
Dr. Dana Barr, Emory University
Dr. Dale Hattis, Clark University
Dr. Amy Kyle, University of California, Berkeley
Ms. Ruthann Rudel, Silent Spring Institute

OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT

Dr. George Alexeeff, Acting Director
Mr. Allan Hirsch, Chief Deputy Director
Ms. Amy Dunn, Safer Alternative Assessment and
Biomonitoring Section
Ms. Fran Kammerer, Staff Counsel
Ms. Sara Hoover, Chief, Safer Alternative Assessment and
Biomonitoring Section
Dr. Melanie Marty, Chief, Air Toxicology and Epidemiology
Branch
Dr. Lauren Zeise, Chief, Reproductive and Cancer Hazard
Assessment Branch

DEPARTMENT OF PUBLIC HEALTH

Dr. Rupali Das, Chief, Exposure Assessment Section,
Environmental Health Investigations Branch
Dr. Jianwen She, Chief, Biochemistry Section

DEPARTMENT OF TOXIC SUBSTANCES CONTROL

Dr. June-Soo Park, Environmental Chemistry Branch

APPEARANCES CONTINUED

ALSO PRESENT

Mr. Davis Baltz, Commonweal

Dr. Asa Bradman, University of California, Berkeley

Dr. Roy Gerona, San Francisco General Hospital

Dr. Ulrike Luderer, University of California, Irvine

Ms. Sharyle Patton, Commonweal

Ms. Susan Ryan

Dr. Gina Solomon, University of California, San Francisco

Ms. Rachel Washburn, Loyola Marymount University

Dr. Mike Wilson, University of California, Berkeley

<u>INDEX</u>	<u>PAGE</u>
Welcome by Acting Director Alexeef	1
Overview of workshop by Ms. Hoover	2
Biomonitoring of Exposure to Environmental Chemicals: Complexities in Interpreting Data by Dr. Barr	9
"Is it Safe?": New Ethics for Reporting Personal Exposures to Environmental Chemicals by Dr. Rudel	36
Making Sense of Human Biomonitoring Data by Dr. Bahadori	67
Morning questions and discussion	94
Afternoon Session	117
Introduction of afternoon speakers by Dr. Zeise	117
Interpreting Biomonitoring Data in a Risk Assessment Context Using Biomonitoring Equivalents by Dr. Aylward	120
Importance of Pharmacokinetics and Distributional Analysis for Understanding Biomonitoring Results by Dr. Hattis	148
Understanding and Interpreting Biomonitoring Results in the Context of Sustainable Communities by Dr. Kyle	168
Afternoon questions and discussion	193
Panel discussion	211
Wrap up	274
Adjourn	274
Reporter's Certificate	275

1 videotaped and transcribed. There will be a transcript of
2 the meeting, and it will be posted on the website in
3 several weeks.

4 I would like everyone speaking, particularly if
5 there's questions from the audience to wait until there's
6 a microphone available and speak clearly into the
7 microphone.

8 The reason for holding this workshop is to get
9 input on how we should approach the interpretation of
10 biomonitoring results. One aspect is to help us with
11 explaining results to individuals participants.

12 The Program also needs to interpret the results
13 at the population level, to help the State evaluate how
14 well its regulatory programs are addressing environmental
15 exposures to contaminants.

16 So I'd like to introduce Sara Hoover. She's the
17 Chief of the Safer Alternatives Assessment and
18 Biomonitoring Section in OEHHA. And she will talk about
19 the goals of today's workshop and introduce this morning's
20 speaker.

21 Sara.

22 MS. HOOVER: Good morning, everyone. Thanks
23 again for joining us. And again, a special thank you to
24 the speakers, because it's been a lot of work over many
25 months for us to put this together, and we really

1 appreciate your participation.

2 (Thereupon an overhead presentation was
3 Presented as follows.)

4 MS. HOOVER: So as George said, we're going to be
5 talking today on understanding and interpreting
6 biomonitoring results.

7 Let's see, how do I advance this guy.

8 All right. Lee, arrow?

9 Oh, I was slow.

10 So just to go over the workshop objectives, which
11 were in the description of the workshop. We're going to
12 be discussing, in general, approaches for understanding
13 and interpreting biomonitoring results. We also want to
14 specifically start to tackle this issue of comparison
15 levels in blood or urine.

16 And just to say what I mean by that. In the --
17 yesterday, if you were at the workshop yesterday, there
18 was a term used called levels of health concern. That
19 would be a type of comparison level. At the last SGP
20 meeting, we were using the term biomonitoring reference
21 levels. So that's what we're alluding to when we talk
22 about comparison levels today.

23 In terms of more specific issues, we also want to
24 look at particular scientific challenges in interpreting
25 results, including how we should address multiple chemical

1 exposures and sensitive subpopulations. And we're really
2 eager to get the audience and speakers and the Panel's
3 input onto Biomonitoring California on these issues.

4 --o0o--

5 MS. HOOVER: So just to reiterate some of the
6 background that George was talking about. The context for
7 why we're doing this is two-fold.

8 One is related to individual participants. We --
9 under Biomonitoring California, we're mandated to return
10 individual results to participants if they request them.
11 And we are also just, as part of the legislation, but just
12 as part of conducting these projects responsibly, we'd be
13 advising individuals on follow-up steps as needed. So
14 that's the individual level.

15 Then another goal of the program is to help
16 California to use biomonitoring results to help California
17 evaluate public health efforts to reduce chemical
18 exposures in this State. So that means we're going to be
19 looking at results both at the individual and population
20 level.

21 --o0o--

22 MS. HOOVER: So some of the interpretation issues
23 that could come up is understanding what is an elevated
24 blood or urine level for a particular chemical and
25 deciding on follow-up steps and when to take those

1 follow-up steps.

2 Another, if you were here yesterday, you heard a
3 really great talk by Rachel Morello-Frosch and Holly
4 Brown-Williams of UC Berkeley about report-back issues.
5 So some of that is how do we provide context for
6 individual results and answering questions that the
7 participants might have about what their results mean.

8 We also want to be able to explain what
9 biomonitoring results are and what biomonitoring is in
10 general to the general public. And again, trying to
11 evaluate chemical exposures at the population level to
12 help guide public health actions on chemicals of concern.

13 --o0o--

14 MS. HOOVER: So based on the objectives, we've
15 just tried to layout some general discussion questions,
16 and of course we also welcome other kinds of input. So
17 just we're -- over the day, we're going to be thinking in
18 general about what approaches should be used to understand
19 and interpret biomonitoring results.

20 We'd also like to hear about what information
21 people think is needed to properly interpret and explain
22 biomonitoring results at the individual level and at the
23 population level.

24 --o0o--

25 MS. HOOVER: And then we do want to talk a little

1 bit about this issue of comparison levels. So an obvious
2 comparison level is measured levels in other relevant
3 populations. So other than that, what types of comparison
4 levels in blood or urine would be useful for providing
5 context for biomonitoring results, both at the individual
6 level and the population level, and what methods might we
7 consider using to develop these comparison levels.

8 And then we wanted to bring back these specific
9 questions, in particular, about how should biomonitoring
10 results of multiple chemicals that either act in the same
11 way or produce the same health effect be interpreted at
12 the individual level, and at the population level. And as
13 well as, how should sensitive populations be taken into
14 account at the individual and population level.

15 So I'm putting these up now so people sort of
16 have them in their mind over the day and then we're going
17 to be talking about them more specifically in the
18 afternoon session.

19 So just to give you an idea of how the agenda is
20 going to work. We're going to be hearing from 3 speakers
21 in both the morning and the afternoon. And we're going to
22 have time for a few questions right after the speakers
23 give their talk. Then we've also allotted a half hour
24 session in both the morning and the afternoon where we're
25 going to have the 3 speakers come up, maybe comment on

1 each other's talks and take additional questions and
2 discussion from the audience. And then in the afternoon,
3 we're going to have a panel discussion with all 6 speakers
4 interacting with the audience and going over some of these
5 questions.

6 So I'd like to start just by introducing our
7 morning speakers.

8 --o0o--

9 MS. HOOVER: So we're really pleased to have Dr.
10 Dana Boyd Barr here. She's a professor of Exposure
11 Science in Environmental Health at Emory University.
12 Before joining Emory, she was at CDC for 22 years, and she
13 spent much of her time developing methods for assessing
14 human exposure to a variety of environmental toxicants.
15 And she serves on many national and international panels
16 and committees related to exposure assessment.

17 Her current research includes studying maternal
18 and child health, paternal reproductive health and
19 farmworker safety in Thailand. She's also collaborating
20 on several child and farmworker cohort studies in the U.S.
21 in evaluating brominated flame retardant exposures and
22 thyroid function in small children.

23 Our second speaker this morning is Ruthann Rudel
24 from Silent Spring. She's a research director at Silent
25 Spring Institute, where she leads exposure and toxicology

1 research on endocrine disrupting chemicals and on
2 mechanisms by which chemicals may influence breast cancer
3 risk.

4 She's also an Adjunct Research Associate in the
5 Brown University Department of Pathology and Laboratory
6 Medicine, and serves on the NTP Board of Scientific
7 Counselors.

8 She directs the Silent Spring Institute's
9 Household Exposure Study, which collects data on indoor
10 and outdoor air, house dust, urine, blood and
11 self-reported exposures. And there's participants in
12 California and Massachusetts in those projects.

13 And Silent Spring works on developing ethical and
14 effective methods for reporting personal exposures to
15 study participants when the health implications are
16 uncertain.

17 And then our third speaker this morning is Dr.
18 Tina Bahadori. She's managing director for the Long Range
19 Research Initiative Program at the American Chemistry
20 Council, which is a research program designed to support
21 chemical management decision making.

22 Before she joined ACC, she was manager of the Air
23 Quality Health Integrated Programs at the Electric Power
24 Research Institute. And she also serves as a member of a
25 number of boards and committees, such as the National

1 Academies' Board on Environmental Studies and Toxicology,
2 the Board of Scientific Counselors of CDC, the Chemical
3 Exposure Working Group on the National Children's Study,
4 and she's also been involved with the CDC National
5 Conversation on Public Health and Chemical Exposure.

6 So welcome to the 3 morning speakers. And I'd
7 like to ask Dana to come up and start her talk.

8 (Thereupon an overhead presentation was
9 Presented as follows.)

10 DR. BARR: Good morning. First of all, I'd like
11 to thank Sara and Lauren and all the other people who are
12 responsible for conducting this workshop. I think since
13 the National Academies of Science had their work group on
14 biomonitoring come out with a report in 2006 indicating
15 that we were very good at producing a lot of biomonitoring
16 data, but very poor at interpreting the biomonitoring
17 data. That we kind of outpaced ourselves. That it's
18 really good to see California being so progressive in
19 taking on this issue, which I would say is timely, but I
20 actually think it's more needed long ago. And so I'm glad
21 that we've had the opportunity to get together today to
22 discuss this.

23 I'm also happy that I can be the first speaker.
24 First of all, I get to go back to a lot of the basics.
25 But as a self-proclaimed queen of biomonitoring, it's my

1 pleasure to able to talk to you about some of the things
2 that we've done in the past that we know worked really
3 well, some of the things that didn't work as well and
4 where we can move into the future

5 --o0o--

6 DR. BARR: So back to the basics. This is a
7 typical source effect diagram. It's kind of a permutation
8 of one that EPA uses that shows that an environmental
9 chemical can actually -- I'm going to use the mouse here
10 to get to the -- to use it as a pointer, but can get into
11 environmental media.

12 And we can measure a chemical in that
13 environmental media. That becomes the external dose. The
14 internal dose is after that chemical or agent is absorbed
15 into the body. We can measure that in a biological
16 tissue, an excrement, or in a distribution matrix. And,
17 of course, the net environmental chemical may go on to
18 produce some effect.

19 I do want to point out that a biomonitoring
20 measurement is not equivalent to exposure. It's an
21 assessment of exposure. Exposure really is the occurrence
22 of that chemical at the interface between the environment
23 and the human. I also want to point out that body burden
24 is not necessarily a biomonitoring measurement. It's
25 somehow a cross section between what's in our blood,

1 what's in our distribution matrices, et cetera.

2 And so in order to avoid the exposure conundrum,
3 where we're not speaking the same language, I want to
4 define a few terms, because it's very hard to agree on
5 interpretation of complicated issues when we have
6 incongruent view of what exposure is or what specific
7 terms are.

8 And so the first term is exposure. The contact
9 of the chemical or agent at the biological interface.

10 --o0o--

11 DR. BARR: Body burden, the amount of the
12 chemical agent residing in the body, including the
13 deposition matrices

14 And biomonitoring then would be a measurement of
15 that chemical, its metabolite or reaction product in a
16 biomatrix, most commonly blood and urine, but can be any
17 biomatrix.

18 Body burden then does not necessarily equate to a
19 biomonitoring measurement. And the biomonitoring
20 measurement does not necessarily equate to exposure. So I
21 just want to make sure that we're talking about the same
22 things here.

23 --o0o--

24 DR. BARR: So why are we biomonitoring?

25 Well, we're biomonitoring for a variety of

1 reasons: To assess exposure, to see what chemicals
2 actually get into people, to assess the effectiveness of
3 regulatory actions, to evaluate interventions. There are
4 a lot of reasons. But the bottom line is we want to
5 understand if environmental exposures have anything to do
6 with disease.

7 And if they do have anything to do with disease,
8 what can we do to prevent those exposures, so we can
9 reduce the disease outcome. So that's kind of it in a
10 nutshell.

11 --o0o--

12 DR. BARR: But biomonitoring data are not created
13 equally. There are a variety of factors that can affect
14 both the quality of the biomonitoring data that go --
15 range from study design to sample direction to sample
16 analysis and even how we treat the data afterwards.

17 And so these are some of the issues that I'm
18 going to talk about, because these are some of the
19 complexities that you encounter when you try to interpret
20 biomonitoring data.

21 --o0o--

22 DR. BARR: First of all, biomonitoring data
23 hinges on the inherent characteristics of the exposure
24 scenario. And I'm using the word "hinge" here knowing
25 that it actually relates to one factor, but I'm taking

1 some literary license here, because I think it needs to be
2 emphasized a little bit more than just saying it depends
3 on.

4 We know that high levels of exposure are not
5 equal to low levels of exposure. And we can't necessarily
6 extrapolate high level of -- high exposure levels or high
7 exposure effects to low levels and low effects.

8 And I think lead is a pretty good example of
9 that. When we saw that lead was in use in gasoline,
10 people had high levels of lead in their blood. Because we
11 used some interesting data from high level exposures to
12 try and interpret or try to predict what people would have
13 in their blood after the removal of lead from gasoline, we
14 were wrong. And I think that that's a good example of how
15 you can't necessarily translate high level exposures to
16 low level exposures.

17 Also, the chemical or agent that one is exposed
18 to or that may be measured may differ depending upon the
19 exposure scenario. An example would be urinary benzene.
20 The chemist in me says that benzene is never going to end
21 up in urine. It just is not going to happen. It's too
22 lipophilic.

23 But I think current data have shown that when you
24 have high enough levels, especially in occupational
25 settings, that urinary benzene becomes one of your most

1 selective markers for evaluating benzene exposure in
2 occupational setting.

3 Another example is the herbicide atrazine, which
4 is second most abundantly applied herbicide or pesticide,
5 in fact, in the U.S. And the structure is shown here.
6 And it's applied primarily to corn and to turf
7 applications.

8 When people are applying these occupationally,
9 they're exposed to atrazine and degradates and
10 contaminants of atrazine. So their exposure profile may
11 look very different than if you get an environmental
12 exposure where that chemical has been weathered in the
13 environment. Some of the alkyl groups have been removed.
14 The chemicals don't look the same. Although, they're
15 still biologically active.

16 And so again the exposure scenario may dictate
17 what you need to biomonitor.

18 --o0o--

19 DR. BARR: Biomonitoring hinges on the study
20 design too. Exposure is dynamic. It doesn't occur once
21 at the same level always at the same time for most
22 chemicals. And I have this drawing here, the New Game of
23 Human Life by John Wallis, which was a precursor to Milton
24 Bradley's Game of Life.

25 But if any of you have ever played the game, you

1 for example, you take whatever kind of samples you can
2 get. And you may not be able to account for all of the
3 storage and the sample contamination and such issues. And
4 so, sometimes you have to consider this in a post-hoc
5 evaluation of the data.

6 --o0o--

7 DR. BARR: Biomonitoring hinges on the analytical
8 measurement. All numbers are not created equally. I
9 think we all know this. There are various analytic
10 techniques. I think the ones that are primarily promoted
11 in biomonitoring today are mass spectrometry based, but
12 they are not the only analytic techniques that provide
13 quality data. We have immunoassays and a variety of other
14 techniques that can be used.

15 You need credible validation of these
16 biomonitoring methods. And By credible validation, I mean
17 validation that makes sense. You need to look at the
18 analytical precision. You need to look at the accuracy.
19 You need to understand that some of these parameters are
20 dynamic as well. You can characterize the parameters of a
21 method, but they change over time depending upon analysts,
22 the age of the instrument, other factors. So you can't
23 just calculate it once and think that it stays the same.

24 The same is true of a limit of detection. A
25 limit of detection is not static, but is dynamic, and can

1 change from sample to sample. So if you want to do a
2 statistical measurement over a 60-day period of time to
3 really solidly get down that limit of detection, it's only
4 going to be 60 days wasted, because you're going to have
5 to recalculate it again for the next study.

6 Analytical. We need the analytical ability to
7 differentiate between 2 similar chemicals. And we need to
8 understand the method characteristics and measured values
9 are not static, but they do -- they can change with time,
10 depending upon the analytical methodology, the age of the
11 instrument, the analyst, and such.

12 And I think then in recognizing that, quality
13 data has to include this recognition that data are
14 limited. The numbers are not solid, in that they aren't
15 changing over time. They have limitations too. And I
16 think we, as people that are interpreting data, want to
17 take these numbers and act like they have no uncertainty
18 associated with them. And I think that we need to
19 recognize that. And failure to recognize that means I
20 think a failure of quality.

21 I think inter-laboratory comparisons are needed,
22 not single laboratory qualifications. The reason for that
23 is if one laboratory is used as a reference laboratory, if
24 that has a bias with it, then the whole system is biased.
25 There are several inter-laboratory comparison programs out

1 there, like the German EQUAS program. And even for
2 clinical reference values where they enlist multiple
3 laboratories to try and define that reference value. And
4 so they can ensure that there's not one laboratory bias
5 that's driving the whole force.

6 --o0o--

7 DR. BARR: So biomarker hinges on biomarker
8 specificity. And I have several slides about specificity,
9 and it has to do with the specificity of the analysis and
10 the specificity for the chemical too which one is exposed.

11 So is the biomarker selected for the chemical
12 agent it represents?

13 Well, again, this likely differs based upon the
14 exposure scenario. And here I give the example of
15 1-Naphthol as a biomarker of exposure to either carbaryl,
16 which is a carbamate insecticide, or naphthalene, which is
17 low molecular weight PAH.

18 If you are in the environment, one would assume
19 that predominantly you're being exposed to naphthalene not
20 carbaryl. So most of the 1-Naphthol in your urine is
21 going to be from exposure to the PAH and not exposure to
22 carbaryl.

23 However, if you're a carbaryl farmer, and you --
24 and we encountered one of this in the pilot agricultural
25 health study -- and you go and apply carbaryl in your farm

1 and you come back covered in carbaryl, most of the
2 1-Naphthol in your body is going to be from carbaryl. So
3 again, the exposure scenario comes into play when you're
4 trying to interpret the data.

5 --o0o--

6 DR. BARR: The chlorpyrifos story. I have to
7 look up at Asa when I see this, because we've learned a
8 lot about chlorpyrifos exposure and assessing chlorpyrifos
9 exposure over the last decade. And I think it's moved us
10 very much forward from where we were 30 years ago.

11 One can be exposed to chlorpyrifos, which is an
12 organophosphorus insecticide, or its environmental
13 degradates in the environment. And its environmental
14 degradates include the oxon, which is the active
15 metabolite or the hydrolytic products, which are right
16 here below.

17 They hydrolytic products are not toxic. The oxon
18 is toxic. Chlorpyrifos is toxic. So if you measure the
19 bottom chemicals that are excreted in urine, you have
20 exposure to consider from chlorpyrifos, its oxon, and its
21 nontoxic environmental degradates as well. And so that
22 confounds your interpretation of that exposure.

23 If you measure just chlorpyrifos in blood, which
24 is probably the most selective measure, it's a great
25 measurement to make, but it's a very complicated

1 measurement to make. The levels of chlorpyrifos in blood
2 are usually along the order of 3 orders of magnitude lower
3 than urinary metabolite levels. And that measurement is
4 subject to a lot more error and is more costly.

5 So you have a lot of things that you have to
6 consider and weigh for in order to interpret the data that
7 you're generating. And I think we're understanding a lot
8 more now about chlorpyrifos exposure and what we know and
9 what we don't know.

10 --o0o--

11 DR. BARR: So again looking at the specificity,
12 is the matrix appropriate. Why don't we measure PAHs in
13 blood?

14 Well, one of the reasons is because PAHs are
15 everywhere, and it's hard to account for contamination in
16 blood. So urinary benzene I already talked about. No one
17 that's a chemist would -- or even maybe a toxicologist
18 would think that benzene itself would end up in urine, but
19 it does.

20 Well, what about urinary benzo[a]pyrene?

21 Well, it's not representative of benzo[a]pyrene
22 exposure, because most of the hydroxylated benzo[a]pyrene
23 metabolites are excreted in the feces. Conversely, the
24 chemically similar pyrene is predominantly -- its
25 hydroxylated metabolites are predominantly excreted in the

1 exposures that result from stressors, it could lipid
2 peroxidation products, reactive oxygen species, quinones,
3 They're usually about 3 orders to 6 orders of magnitude
4 higher than the environmental chemicals in people. So I
5 think is something we can't ignore, because it certainly
6 is going to play some sort of a synergistic, or, if not
7 synergistic, at least some role in how our body handles
8 the insults from environmental exposures.

9 And the exposure to exogenous and endogenous
10 chemicals can vary, anywhere from 10- to 1000-fold within
11 and among people. And so this variability again is
12 another issue that we have to address and why I think that
13 repeated measures is going to be required.

14 --o0o--

15 DR. BARR: Chemicals in a single class also may
16 have different metabolism and bioaccumulation
17 characteristics. And I talked about PAHs and how
18 benzo[a]pyrene and pyrene could be eliminated differently.
19 Phthalates would be another example that I'll talk about.
20 But even things that we think we have a great handle on,
21 like PCBs that we've been measuring for 30, 40, 50 years,
22 even those we understand that they behave differently now,
23 that some of them behave differently than others.

24 --o0o--

25 DR. BARR: This is an example with phthalate

1 metabolism. This is a dimethyl substituted phthalate.
2 And normally what you have is a normal hydrolytic process
3 occurs, you lose one of the alkyl chains and that's
4 excreted as its phase 2 metabolite in urine, and that can
5 be measured. That's called the monohydrolytic product or
6 the monoethyl product.

7 And for this particular example, I'm going to use
8 diethyl -- diethyl-2-hexyl phthalate. When we measured
9 diethyl -- mono-ethylhexyl phthalate, we only see about
10 150 parts per billion as a median value in the U.S.
11 population -- or as the 95th percentile in U.S.
12 population.

13 However, because this is a large molecular weight
14 phthalate, it undergoes further oxidation and elimination,
15 which produces multiple chemicals then that are excreted
16 in the urine. And if we don't consider those, we can
17 underestimate exposure.

18 But now here we have 2 issues. If the
19 mono-ethylhexyl phthalate is considered the biologically
20 active component, and the oxidative metabolites aren't,
21 are they interesting chemicals to measure?

22 Well, it depends on whether you're trying to
23 evaluate exposure or you're trying to evaluate health.
24 Again, it goes back to the exposure question, and perhaps
25 the exposure scenario.

1 --o0o--

2 DR. BARR: I think that biological persistence is
3 a key factor for consideration in interpreting data. And
4 most of you have seen some version of this graph
5 throughout your career, but I've kind of tried to simplify
6 it a little bit, with these red lines being blood levels
7 and the dotted line down here and the red line up here
8 representing what we would expect in blood and urine after
9 exposure to a persistent organic pollutant. The exposure
10 occurs here at the Y axis.

11 And for a persistent organic pollutant, we
12 usually have this slight decline here, which is called
13 an alpha distribution, which represents that chemical
14 being equilibrated among the distribution matrices. And
15 then we have a fairly slow decline of this chemical in the
16 blood. So we can take a blood sample, measure it, and
17 kind of indicate whether that person has had exposure to
18 Persistent Organic Pollutants.

19 Conversely, if they're exposed to a
20 non-Persistent Organic Pollutant that's really typically
21 short lived in the body, we have a similar occurrence, an
22 increase in the blood levels. We have a more dramatically
23 sloped alpha distribution. But I think this is important
24 and something we tend to ignore, that that alpha
25 distribution does represent distribution among the various

1 And what we found is that people that were
2 exposed through breast feeding or continued eating Of
3 blubber had variable levels of PCB 28. PCB 28 has a very
4 short biological half-life compared to other PCBs. But we
5 still lump it into this Persistent Organic Pollutant
6 category.

7 PCB 153, however, which is a very -- this is the
8 most prevalent PCB congener, was highly associated with
9 breast feeding, even at 14 years of age and with blubber
10 consumption.

11 And so I think that we start thinking of these
12 concepts of POPs and nPOPs as being one way, and we can't
13 grasp changes that we find out about these particular
14 chemicals over time. And we've known this for over five
15 years.

16 --o0o--

17 DR. BARR: So chronic exposure is really a
18 different story. We have repeated exposures, and so a
19 single measurement makes it a lot easier to interpret. An
20 example, environmental tobacco smoke, perhaps lead or some
21 other chemicals.

22 --o0o--

23 DR. BARR: Then we have issues of whether or not
24 if to creatinine adjust or lipid adjust these chemicals.
25 Is creatinine adjustment of urinary concentrations

1 appropriate?

2 Well, when you look at a distribution in the
3 population here, you see children have about half the
4 level of creatinine as normal adults do. And so if you
5 creatinine adjust, you're artifactually increasing that
6 child's level by 2. I think it's kind of interesting that
7 we've tried to put forth methods to avoid artifactually
8 making this change. But even if you look at CDC's report,
9 and the values that -- the least squared geometric means
10 where they've corrected for creatinine, most of the
11 urinary metabolites they say are about twice as high as
12 adults, but you don't see that same thing in blood. And
13 it just seems to not make sense and not add up. And I
14 think it really needs to be evaluated more stringently.

15 --o0o--

16 DR. BARR: And this maybe is an indication that
17 we should strive to collect more integrated samples
18 anyway, so we don't have to worry about these correction
19 processes.

20 --o0o--

21 DR. BARR: We have to evaluate biomonitoring data
22 on a population level. But of course people want to know
23 their exposures, so how can we provide a contextual
24 framework given our current knowledge?

25 --o0o--

1 DR. BARR: I think that we obviously can give
2 them positions in overall distribution. Reference ranges
3 have reasons for our -- or are useful for being able to
4 compare different concentrations to. But I also think we
5 need to provide some context to relate to common exposures
6 and biological measures.

7 For example, caffeine, or acetaminophen, or
8 aspirin. Here, I show a distribution of caffeine in a
9 selected population. And the units are about 3 orders of
10 magnitude higher than most environmental toxicant levels.
11 And so I think this helps to put it into some perspective.

12 --o0o--

13 DR. BARR: So biomonitoring hinges on our ability
14 to meaningfully interpret data with respect to exposure
15 disease. This often requires some timing of exposure,
16 pharmacokinetic information. It may require uptake
17 information and certainly requires a lot of studies.

18 --o0o--

19 DR. BARR: So now that I've told you a lot of the
20 complexities of biomonitoring, I mean, does it really
21 answer any questions?

22 Well, I've put certain questions down here in the
23 left-hand side of this column. For example, temporal
24 trends in exposure, risk, exposure itself, risk
25 mitigation, and whether cross-sectional biomarker data

1 answer those questions, longitudinal data or environmental
2 data, and maybe what are some of the data gaps.

3 I think that what I find is that, yes,
4 biomonitoring data are useful in helping to answer some of
5 the questions, but they're not all we need. We can't use
6 biomonitoring data in isolation to try and get at the
7 exposure and disease-related questions that we want.

8 --o0o--

9 DR. BARR: And, of course, none of us want to
10 deal with the unexplored territory mixtures, even though
11 continuing to avoid addressing them doesn't mean that
12 they'll disappear. And I hope that Tina Bahadori will
13 talk a little bit more about how we can address mixtures
14 later.

15 --o0o--

16 DR. BARR: So I think that we've had many
17 successes and pitfalls for biomonitoring. We've come
18 along way. We understand now more than ever a lot of the
19 issues that are related to it. We also have a better
20 understanding of what we don't know. And we have some
21 successes under our belts.

22 But I think that if we continue in the direction
23 that we are going now, where we're just very narrowly
24 focusing our efforts, it can be our demise, because we
25 really need to do a lot more to understand if there's a

1 relation between exposure and disease.

2 --o0o--

3 DR. BARR: So I would suggest an unconventional
4 approach. And that biomonitoring in isolation is just not
5 sufficient. Coexposures and comorbidity should be
6 addressed. And then we should advocate studies with
7 holistic approaches to exposure science. And this can
8 include non-hypothesis driven exploration, but also it
9 needs to be linked back to some toxicological relevance.

10 --o0o--

11 DR. BARR: I think it's important that several of
12 our leaders in science have acknowledged the need to
13 produce both genomic, epigenomic and exposure data
14 combined in order to be able to interpret the relation
15 between disease and exposure.

16 --o0o--

17 DR. BARR: For example, Linda Birnbaum recently
18 said, "This is the decade of the epigenome". And we need
19 genomics, epigenomics, and environmental exposure data in
20 order to understand these complexities.

21 And Paul Anastas, the Assistant Director for EPA
22 and the Director for ORD said this is going to represent a
23 seismic shift for the Agency to stop thinking about
24 exposures on a chemical by chemical, toxicant by toxicant,
25 even matrix bay matrix basis.

1 --o0o--

2 DR. BARR: So now we have a new dilemma. Do we
3 continue to biomonitor and do things the way we used to or
4 do we think outside the box and do things a little bit
5 differently, so we can try and really get at some of those
6 questions that are -- that need to be answered in order to
7 see if we can link exposure to disease?

8 --o0o--

9 DR. BARR: And so I would like to say a quote
10 that one of my dear friends, Matti Jantunene at his
11 retirement seminar at the ISES meeting said, and that's,
12 "Life is a consequence of and adaptation to the exposome",
13 where the exposome is a collection of exposures over a
14 lifetime that we need to evaluate.

15 And here, it's of importance to me. This shows
16 my daughter in my womb. This shows her the day that she
17 was born. And I do believe I had a conference call with
18 Asa Bradman and Brenda Eskenazi on that very day about the
19 CHAMACOS cohort.

20 (Laughter.)

21 DR. BARR: It shows a variety of stressors she's
22 had throughout her life and some of the exposures. And I
23 think that we need to take -- look at all of these
24 collectively when we're looking at interpreting
25 biomonitoring data, in order to make our children's

1 ability to adapt to these exposures much more feasible.

2 --o0o--

3 DR. BARR: Lastly, I'd like to just maybe give
4 this in loving memory of Larry Needham, who was my
5 long-time mentor, a biomonitoring guru, and somebody who
6 thought outside of the box, even though his bread and
7 butter was in the box. And he was a beloved mentor and
8 friend for over 25 years and he's greatly missed by many,
9 including many in this room.

10 And thank you for your time and your attention.

11 (Applause.)

12 MS. HOOVER: So we do have a few -- well,
13 actually more than a few. We have the full time for
14 questions, because Dana got through her talk very well.
15 So if anybody has questions, we have a mike going around.
16 Any questions for Dana?

17 Oh, before you ask your question, can you please
18 identify yourself.

19 DR. BAHADORI: Tina Bahadori, American Chemistry
20 Council.

21 Great talk, Dana.

22 So what do we do now?

23 DR. BARR: Well, I think that we've seen a lot of
24 talks and a lot of information over the last year on
25 exposomics, where we actually marry this top-down

1 approach, where we look at perturbations in various
2 systems that are caused by multiple exposures, multiple
3 stressors. And then we anchor that with a bottom-up
4 approach looking at chemicals that we know can be present,
5 that we know can potentially cause disease.

6 And so we try and look at the body holistically.
7 That recreates one system then, one disease, one system
8 that needs to be incorporated using probably systems
9 biology approaches into a more complex system. And I
10 think that -- I think this is an area where we are just
11 starting to break ground, but I think it's where we're
12 going to find the most information that's going to enable
13 us to not only understand the environmental component to
14 disease, but also the genetic component, because I think
15 that they work collectively.

16 DR. HATTIS: I think also wonderful -- Dale
17 Hattis, Clark University.

18 A wonderful talk. And I think a couple of your
19 points deserves emphasis. And that is the tradition in
20 biomonitoring of going for the largest possible N by
21 making one measurement per person can be
22 counterproductive. That, in fact, you have -- you can get
23 much more information if you have more measurements per
24 person. Although, that imposes burdens on both the
25 researchers and the subjects. But also making the

1 measurements themselves is only the starting point for
2 what can be a considerable enterprise at creative analysis
3 and interpretation that may often be, I think, neglected
4 in favor of building your N.

5 DR. BARR: Thank you, Dale. I appreciate that.
6 I think now we also have an opportunity to leverage on a
7 lot of studies that are out there. I mean, for example,
8 the National Children's Study collecting a lot of samples.
9 I mean, if we're very creative, we can come up with some
10 really interesting ways to look at disease as apart of
11 that population.

12 I also want to advocate -- and I know Asa I've
13 called you out 3 times already today -- but advocate Asa
14 and the groups at Columbia, Berkeley, Cincinnati, Mount
15 Sinai, who are taking this approach where they have these
16 great cohorts that are very data rich, and they're
17 combining the data to get more meaningful results out of
18 it. And I think that we should encourage people to do
19 more like that. We, as academicians and as researchers,
20 tend to kind of hold our data close to us, because we want
21 to maximize what we can get out of it.

22 But I think that by taking on those approaches
23 that we're leveraging existing data and trying to make
24 more sense out of what we have as well.

25 DR. ZEISE: Thanks. A wonderful talk. I was --

1 MS. HOOVER: Identify yourself.

2 DR. ZEISE: Pardon?

3 MS. HOOVER: Identify.

4 DR. ZEISE: Oh, Lauren Zeise, OEHHA. I was
5 wondering if you could comment a little more on the
6 relationships that lead to uncertainty in your actual
7 measured value, and indicate how you might get a handle or
8 if you have a handle on the magnitude of those and how we
9 might find out about that?

10 DR. BARR: Yeah, I mean, obviously when you
11 develop a method -- and I know that there was a lot of
12 talk yesterday about validating the methods. And that's
13 what you do. You find out about the uncertainty, about
14 the accuracy that's involved with it.

15 But as I mentioned, that's not a value that stays
16 the same. That value changes over time, as does the LOD.
17 And so what I think you have to do is reevaluate this on a
18 constant basis. Now, typically you're in the same
19 ballpark, the same order of magnitude. But, you know, for
20 example for some studies that we'd done, the LOD might be
21 a factor of 10 higher, because our equipment is 10 years
22 older, and our analyst is brand new.

23 And so those kind of factors can play a role in
24 it. So I think that I would advocate for each individual
25 study having all of those characteristics evaluated, the

1 LOD, the accuracy, the precision. So for an individual
2 set of data, then you'll have all of that information.

3 DR. ZEISE: And does CDC now report that? I
4 realize they report the LOD, but it's the precision and
5 the accuracy measurements.

6 DR. BARR: They report the published analytical
7 methods. To my knowledge, they don't report it with each
8 individual data set.

9 DR. ZEISE: Thank you.

10 MS. HOOVER: Thank you.

11 DR. BARR: Thank you.

12 MS. HOOVER: Thanks, Dana.

13 And next we're going to hear from Ruthann Rudel
14 from Silent Spring.

15 (Thereupon an overhead presentation was
16 Presented as follows.)

17 MS. RUDEL: Hello. Good morning.

18 And thanks for inviting me to be here in the
19 context of your thinking about how to report back to
20 participants and to the general public in the context of
21 the California Biomonitoring Program.

22 I am happy to be here and share, I think, just a
23 few thoughts derived from -- about our experience
24 measuring personal exposures, reporting back to people,
25 and then also interviewing people after they got their

1 information to try to understand what meaning they took
2 from that.

3 --o0o--

4 MS. RUDEL: For those of you who aren't familiar
5 with Silent Spring institute, I'll just quickly say that
6 it's a nonprofit scientific research organization. It was
7 founded in the mid-1990s by breast cancer activists to do
8 research specifically on environmental factors that might
9 be related to breast cancer.

10 We have a scientific staff. We collaborate often
11 with academic investigators. We're funded primarily by
12 government grants as well, and contracts, private
13 foundation grants, and charitable donations.

14 And I have to -- I should thank Dana and Larry
15 Needham actually, because in our very, very early days
16 before anyone certainly had ever heard of us or had any
17 reason to believe that we would produce anything, they
18 said yes they would do measurements in urine samples
19 collected in our study. So thank you.

20 So I'm going to -- kind of overlaying everything,
21 I'm going to talk about is really this idea of how to
22 communicate about uncertain science or science.

23 And I'm going to briefly just review what we've
24 done, and so the experience on which these thoughts are
25 derived. And then I'm going to talk about research ethics

1 and moving our thinking beyond possible harms, and
2 encouraging thinking about benefits to the individuals in
3 the community associated with the understanding and
4 learning about science, even if it's uncertain.

5 I'm going to talk about what we kind of boil down
6 to basically 6 questions that people want answers to when
7 we gave them their results. And so I'm going to talk
8 about those 6 questions and how we tried to answer them.

9 I'm going to talk about this kind of hard job of
10 matching the messages to the amount evidence and the type
11 of evidence that you have, which varies, of course, across
12 compounds and situations. And the idea is that you want
13 to find a balance between avoiding unnecessary worry, but
14 also avoiding false reassurance. And so that's the
15 challenge.

16 Then I'm very excited actually by this new
17 simple-ish idea. And I think it's responsive also to what
18 some of what Dana brought up at the end of her
19 presentation. And that is that I think one of the
20 opportunities that the biomonitoring programs offer is to
21 follow up on high exposed individuals. And that I think
22 that doing that is going to help us to identify key
23 exposure sources to discover -- essentially to be able to
24 better look for health effects and early effect markers,
25 and to target public health interventions where they're

1 actually most relevant and needed.

2 So those are my -- that's where I'm going today.

3 --o0o--

4 MS. RUDEL: So starting briefly with just what
5 we've done at Silent Spring and with our many
6 collaborators, who I will talk about.

7 So we've done household exposure studies. We've
8 worked in 170 homes. We started at 120 homes on Cape Cod.
9 We've done another 40 homes in Richmond, California here
10 next to the Chevron refinery. And we did 10 homes in
11 Bolinas as well.

12 --o0o--

13 MS. RUDEL: We looked, in general, for about 150
14 different compounds about almost 90 of them identified in
15 some way as having some kind of endocrine activity. We
16 collected indoor air, in some cases outdoor air, house
17 dust, urine, and results are in these 3 pubs, which you
18 can get on our website.

19 --o0o--

20 MS. RUDEL: And we reported back to people. So
21 I'm going to come back to this -- I'm going to come back
22 to this graph several times. So when we do the report
23 back, we use this basic graph or some variant of it. And
24 it shows individuals what their result was and it shows
25 all of the other homes in the study, as comparison values.

1 It shows a health guideline, if there was one. And I'm
2 going to talk about those later.

3 And it tells a little bit about the chemical,
4 where you might find it. So that's the basic format that
5 we use for our reporting back.

6 --o0o--

7 MS. RUDEL: And then our collaborators in the
8 Sociology Department at Brown University went back to
9 people after they had received their results and did
10 interviews about how people made meaning out of the
11 information and what their experience was like.

12 --o0o--

13 MS. RUDEL: This work represents the sustained
14 effort over 10 years by many, many people. At Silent
15 Spring, Julia Brody and I and many of our other staff have
16 worked, you know, extensively on this. Phil Brown in the
17 Sociology Department at Brown University has been
18 involved, especially in report back evaluation of the --
19 and Rachel Morello-Frosch, who you all -- many of you know
20 at Berkeley and gave a talk yesterday.

21 We partnered here in the Bay Area with
22 Communities for a Better Environment as we did the study
23 in Richmond. And we have both environmental engineers --
24 Jack Spengler at Harvard School of Public Health and
25 ethicists and lawyers at the Harvard Law School who have

1 that's just kind of still in progress?

2 Responsible communication is part of the ethical
3 responsibility in human subjects research. And that in
4 addition to minimizing harm, you're also suppose to
5 maximize benefit and support participant autonomy and
6 justice. And that's the -- if you -- you know, if look at
7 the common rule or how the human subjects research are
8 evaluated, those are the dimensions. And so thinking
9 about possible harm, there's emotional distress and worry.
10 There's a risk of infringing on individual privacy,
11 provide stigma to a community based on findings from these
12 studies, especially -- and again, you know, the
13 uncertainty of the significance makes it harder to
14 consider taking these risks.

15 There's a potential expense of -- and legal
16 effect of potential ineffective actions that people might
17 take, thinking that -- worrying that there's a risk when
18 there isn't a risk and the, you know, might -- so those
19 are some of the possible harms.

20 Possible benefits include informed action that is
21 we learn how we can reduce something, and this allows us
22 to make a choice. It's actually increasing environmental
23 health literacy. So we have seen this ourselves in
24 getting into the communities that, as we -- when
25 scientists and public health officials go through the

1 funny, because I always thought it was 5 questions last
2 night. And then I looked at my slide and I counted, and I
3 said there's actually 6 on there. So it's 6 questions.

4 (Laughter.)

5 MS. RUDEL: So these are the questions that we
6 felt that people most wanted the answers to. What did you
7 find? How much? Is it high? Is it safe? Where did it
8 come from? And what should I do?

9 As researchers we're pretty comfortable with the
10 first 2, and the rest get much harder.

11 --o0o--

12 MS. RUDEL: So I'm talking about how we -- so our
13 graph is intended to answer some of those questions. So
14 this is telling the person what the chemical was and how
15 much. Is it high? We can tell them in relation to other
16 comparison, like this study. We can also include other
17 studies, like NHANES or other studies of the same compound
18 in different communities, or different groups.

19 Is it safe? So the EPA guideline is something
20 that, you know, that we used as a reference level. This
21 is for house dust, we used residential soil screening
22 values. And I'm going to come back to this actually in
23 this point specifically later on in the slide.

24 But that's what we had access to and felt that we
25 could use, though we had a lot of mixed feelings about

1 using it for reasons I'm going to explain.

2 And then where did it come from and what can I
3 do, are somewhat answered by providing information about
4 what the chemical is in and -- so that's how we basically
5 tried to be responsive.

6 Now, when we first showed these graphs, we said
7 we're going to use these kind of graphs. You know, and
8 other researchers said absolutely not. You cannot give
9 graphs like that to, you know, laypeople. They can't read
10 graphs.

11 And I should say that there really -- they're
12 pictures. They don't rely on literacy or numeracy to
13 interpret. And, in fact, they've been very effective.
14 And now they've been actually kind of adopted after some
15 testing and focus groups and selected in several of the
16 girl's puberty studies, that are part of the Breast Cancer
17 and Environment Research Centers.

18 And Rachel Morello-Frosch, I think, yesterday
19 presented work. So they're being improved by usability
20 testing by the Berkeley -- I can't remember that group's
21 name sorry, but...

22 But the basic idea has so far been actually
23 fairly successful in the field.

24 Then in response actually to, you know, feedback
25 we got from doing the post -- the interviews after people

1 got results just from the graphs, is that people also
2 wanted -- some people, anyway, wanted a short text summary
3 of like their personalized headlines, what should I pay
4 attention to in all this hundred chemicals, 3 media, you
5 know, and all this data? What should I pay attention to?

6 --o0o--

7 MS. RUDEL: And so we developed these. And the
8 kind of language that we had in here is just -- these are
9 a few excerpts. Like, "We detected many chemicals in
10 every home in the study". You know, "One of the chemicals
11 we found in your urine is a weed killer..." "If you're
12 using a weed killer in your yard, you could reduce your
13 exposure by controlling weeds without these chemicals".
14 "We are studying this chemical...", because it's endocrine
15 active or this or that, whatever.

16 And developing these was hard. This is a high
17 level task. It is not amenable to automation. And it
18 requires thinking about this individual's results in --
19 you know, taken together, and also integrating that into
20 all the other information that a kind of experienced
21 environmental health scientist toxicologist, whatever, you
22 know, risk assessor has, like this chemical is bad, this
23 chemical is good, this health based guideline is wrong,
24 this -- you know, whatever. There's all kinds of
25 information out there that kind of comes into play and

1 saying, well, I think out of all this information, the
2 most important things are these five.

3 So that was a challenge, but I think people ask
4 for that. And I think they like that.

5 --o0o--

6 MS. RUDEL: So I mentioned that these post
7 report-back interviews that were done, you know, not just
8 by us calling back our own participants, but by having
9 sociologists trained grad students, and post-docs working
10 to do the interviews. And we interviewed 57 participants
11 who had received their results, 60 to 90 minute in-person
12 interviews that were all transcribed and coded.

13 And with looking at the basic questions of how do
14 people assign meaning to their results, and what was their
15 experience. And there were 4 papers really reporting on
16 this. JHSB is Journal of Health and Social Behavior,
17 which is not -- we don't know that journal usually. So
18 that's why I'm calling it out.

19 (Laughter.)

20 --o0o--

21 MS. RUDEL: And so key understandings. So people
22 understood that many chemicals are detected in homes.
23 They understood that banned substances, even if they've
24 been banned for many years, are still found today. For
25 example, we found DDT in two-thirds of the house dust

1 samples.

2 They understood that there are many sources for
3 these chemicals. They understood comparisons to
4 distributions of exposure levels in the study -- other
5 people's exposures, and also comparisons to EPA
6 guidelines. And they took understanding that common
7 household or commercial chemicals are unregulated and
8 understudied.

9 Some quotes -- a couple quotes from these, you
10 know, just to kind of illustrate the kind of reaction or
11 the ways people were talking about it. "I didn't even
12 know there were that many chemicals, but I guess there's a
13 lot more than that even". You know, or, "I'm surprised
14 that they can find many things by looking at your dust and
15 looking at your air. I mean, it's amazing to me that they
16 can actually find chemicals in your air at any amount
17 whatsoever".

18 So those are just some -- the types of things,
19 and the kind of experiences. Participants wanted their
20 results. We ask -- our autonomy starts with the informed
21 consent. And we say would you like to receive your
22 results?

23 And, you know, 116 out of 120 Cape Cod people
24 said they wanted to receive their results. And we had a
25 similar, you know, kind of ratio in the California group.

1 The process really increased the trust in the
2 researchers, between the study community and the
3 researchers. People took pride in contributing to science
4 and contributing to their communities. They expressed
5 frustration at information gaps, where, you know, the
6 question, is it safe? And people really do -- they want
7 the answer to that question. And sometimes we're in a
8 position of saying, well, like, well, we don't know and
9 actually nobody knows. You know, it's not like you can
10 call somebody else.

11 And they experienced kind of evolving
12 interpretations and brainstorming. So one person
13 originally had said, "Oh, no, we don't use any pesticides
14 in the house". And then we found a fair amount. And we
15 were back there -- we were doing some retesting, I think.
16 So -- because they had some high levels of chlordane, but
17 there were other pesticides there.

18 And then they were like, "Oh, well, we did have
19 that dog and it had fleas and we bombed the house 5 times,
20 and this and that". So people start to change the way
21 think about it. I went back to their survey where they
22 say, "Oh, no, no, we never use".

23 And people did experience some motivations to try
24 to reduce where they could. You know, I didn't -- and
25 people varied in how they -- some people didn't really

1 care at all, you know, and other people wanted to know how
2 they could do it.

3 --o0o--

4 MS. RUDEL: So moving on to matching messages to
5 the evidence.

6 And I'm going to focus on the issue of risk-based
7 guidance values for this, because I know that this is an
8 important question right now in this room.

9 --o0o--

10 MS. RUDEL: So this is an example of a
11 report-back page. Can you see it? I don't know how
12 well -- how visible it is there.

13 So this shows results in house dust for one
14 participant for phthalates, PBDEs -- well, BFRs,
15 brominated flame retardants, but real it's PBDEs mostly,
16 and 3 PCB congeners.

17 And so I'm just going to talk through some of the
18 observations, some of the things that I think about when
19 I'm looking at this. Can you see the mouse?

20 No. I can't see the mouse.

21 Well, so the health-based guideline -- the
22 health-based guideline values for dibutyl phthalate and
23 butyl benzyl phthalate, we can see where they are on the
24 graph. But what I know about them is that they, at the
25 time that this was produced, they came based on EPA

1 reference doses. And so they were actually based on 1950s
2 studies with endpoints of mortality or liver toxicity in
3 one case, I think.

4 And so those are outdated. They don't really
5 reflect any of our current understanding about dibutyl
6 phthalate or butyl benzyl phthalate, how they work, the
7 fact that they're antiandrogens, reproductive effects. We
8 don't know -- I don't know where the new RfD would be
9 exactly, but -- you know, if you did one.

10 And it also -- because now we know that many of
11 those phthalates are acting additively, so it's hard to
12 put a health based guideline value that adequately can
13 consider then the person's combined exposure. You know,
14 that would be a challenge.

15 With diethylhexyl phthalate, many people in the
16 study might be very concerned, "Oh, look, every house dust
17 sample is above the screening value for DEHP in
18 residential soil. Why is that?"

19 Well, DEHP -- this is based on a cancer endpoint
20 for DEHP for liver tumors. And where there's a lot of
21 conflict in the scientific community about whether those
22 are actually relevant to humans or not. And I don't know
23 the answer. I'm not -- so I'm not saying that they are or
24 they aren't.

25 But all of that information is not captured in

1 this little red X. It kind of -- I remember this cartoon,
2 which actually I looked for on line last night. I
3 couldn't find it. But there was like a tanker on the
4 highway and it has one of those hazard signs on the back
5 and it says, "The scientific community is divided. Some
6 think that this is hazardous and some think it isn't".

7 (Laughter.)

8 MS. RUDEL: So what's the equivalent -- you know,
9 what's the equivalent here that we can use?

10 So at the time we did this -- again, so we
11 didn't -- there were no RFDs or cancer slope factors to
12 develop health-based guidance values for the PBDEs. And
13 so that can result -- you know, when there's missing
14 information, that can result in lack of attention to
15 something that might be worth paying attention to. So you
16 don't see any health-based guideline values.

17 So if that's your frame for thinking about it,
18 you're not going to highlight that. And, in fact, this
19 person has actually some of the highest BFR exposures.
20 And so a reasonable follow-up for them could be like,
21 well, they might have an unusual exposure, so -- and
22 that's something that's more actionable in a way or
23 something to -- that's important for them.

24 There are some similar types of issues for PCBs
25 with, you know, additive effects with other thyroid

1 endpoints, all the congeners not having the same toxicity,
2 how you consider them together. All of those issues in
3 thinking about how to do risk assessment for these
4 compounds. And it's just -- I don't know how to capture
5 that in the little red X.

6 --o0o--

7 MS. RUDEL: So the risk-based guidelines, they're
8 useful. We want some kind of a health-based benchmark.
9 But the values -- the reference values are inconsistent,
10 outdated, and incomplete. There are many, many
11 assumptions required to derive kind of equivalent
12 biological level values or bioequivalence from rodent
13 studies, based on intake. And that leads to a lot of
14 uncertainty.

15 There's insufficient data on population
16 variability and pharmacokinetics and pharmacodynamics to
17 capture that. And if you did actually try to capture it,
18 then you are going to maybe end up with a range of concern
19 levels that's so wide, it doesn't actually have meaning
20 itself, you know.

21 They don't consider combined effects and they
22 really fail to communicate the high level of uncertainty
23 that's involved in their derivation.

24 --o0o--

25 MS. RUDEL: This is a quote that I've always

1 liked from this the decision theorist. So these bright
2 line approaches, while useful, they really can hide
3 uncertainty and provide false reassurance. We'll find a
4 variety of devices that allow ignorance to masquerade as
5 knowledge, so that we can make decisions, you know.

6 (Laughter.)

7 --o0o--

8 MS. RUDEL: So we've -- in thinking about
9 matching messages to evidence, there are -- I'll back up
10 actually for a second. So this is just -- I'm going to
11 array some exposures based on how much we know about
12 health effects, not how bad they are, but how much we know
13 about them from little knowledge to more knowledge, and
14 how much we know about how you could reduce exposures on
15 the Y axis.

16 So lead and mercury, we have pretty good
17 understanding of exposure or sources and health effects at
18 least compared to some of the other compounds we're
19 talking about here. And there -- you can match -- your
20 message can involve a clear public health or individual
21 action message.

22 Things like diesel particulate or current use
23 pesticides, the exposure reduction is known because you
24 can read labels and decide what you're going to use or for
25 diesel we understand about health effects and trying to

1 reduce particulate from diesel is kind of a well accepted
2 environmental health goal.

3 But some of these others kind of like -- we had
4 banned chemicals like chlordane, where we do know a fair
5 amount about the health effects, but I had nothing to tell
6 the people about how they could reduce levels in their
7 home. All I could find, in fact, was that the Department
8 of Defense actually demolished defense housing that had
9 high levels of chlordane, because they couldn't -- and
10 then rebuilt it. You know, so I really didn't want to
11 have to recommend that to anybody.

12 (Laughter.)

13 MS. RUDEL: So then things that are, you know,
14 flame retardants, where the health effects were kind of
15 in -- you know, we're working up to having more
16 information, but it's hard for people to know what to do
17 to reduce exposure. And similar issues with some consumer
18 product kind of chemicals, like phthalates and so on. And
19 so for these, we're saying to recommend -- you can
20 recommend precautionary action if the person wants to take
21 it and more research really, and to avoid ungrounded
22 reassurance.

23 So I've been tempted many times to reassure
24 people in these studies and really try to be fair, because
25 it's just as misleading to suggest that something isn't a

1 risk when you don't really have data to support that, as
2 to overstate the risks. So it's not a one-sided
3 situation, it's a two-sided situation.

4 --o0o--

5 MS. RUDEL: Now, on the exciting new idea, who's
6 high and why?

7 I think that this is really going to be a key way
8 to identify important exposure sources that we don't
9 really know about and figure out which ones are important,
10 to start to understand better about health effects, and to
11 target interventions where needed.

12 And I'm going to demonstrate this by just telling
13 you a case study or story from one incident in our study.
14 So we had one person whose report-back summary looked like
15 this.

16 --o0o--

17 MS. RUDEL: "Your house was selected for
18 retesting, because we detected high levels of PCBs in your
19 air and dust. The levels of PCBs in your blood
20 were...among the highest of 4,000 people tested in a
21 national survey by the U.S. Centers for Disease Control.
22 This suggests that PCBs in your house are an important
23 source of your overall PCB exposure. We can't tell from
24 these tests what the sources are in your house. PCBs were
25 used in electrical equipment, like transformers,

1 fluorescent lights, and other products listed on the back
2 of this page. At high exposures, PCBs affect thyroid
3 hormones and brain development. Scientists have found
4 that eating fatty fish is usually a significant source of
5 exposure. Let's follow up with a phone conversation about
6 this."

7 So most people didn't get the, "Let's follow up
8 with a phone conversation", note at the bottom. And so we
9 went back. This was 5 years actually after the first
10 study, where we retested. The air and dust were high,
11 quite a bit higher than EPA guidelines for residential
12 soil or for ambient air. Their blood levels, everybody
13 in -- there were 2 homes actually that we retested because
14 they had high. And everybody in those homes was above the
15 95th percentile and NHANES age matched -- age and gender
16 matched, except for one person who had just moved into the
17 house about 6 months ago.

18 --o0o--

19 MS. RUDEL: And so what's the source?

20 So, you know, we were in there and asking lots of
21 questions and trying to look for all the things that -- I
22 was talking to the EPA Region 1 Administrator who's
23 telling me, you know, PCBs aren't a residential
24 contaminant. So I'm like, well, we detected them in 30
25 percent of the houses, so maybe they are.

1 And the one family had lived in the house for a
2 very long time. And the male head of household had done a
3 lot of the work on the house himself. And so as we were
4 asking about all different, you know, building materials
5 and former uses and so on -- and this is Cape Cod. This
6 is not an industrial area by the way. So he -- I asked
7 about the floors. So they're wood floors and some of them
8 clearly hadn't been finished, refinished in a long time.

9 So he said -- he actually remembered. He said,
10 well, I remember the product, because in the fifties this
11 new product came out and it was called Fabulon. And it
12 was a floor finish that you didn't have to do the paste
13 wax and waxing and stripping. It was a great product. It
14 looked great, and it was expensive, which is why I
15 remember buying it and using it. And I used it up until
16 the late sixties and it stopped working. It didn't work
17 as well.

18 So, okay. So we go back to the office and we
19 look it up in -- and believe it or not in the 1957 Edition
20 of Clinical Toxicology of Commercial Products, PCBs are
21 listed right there as an ingredient of Fabulon wood floor
22 finish. And so that was my ah-ha moment.

23 And then we started to wonder about opportunities
24 for how widespread this was.

25 --o0o--

1 MS. RUDEL: And we found advertisements from the
2 fifties and sixties that the -- you know, this was a
3 woman's liberation product obviously, because it did no
4 more waxing, no more scrubbing. But it says down here in
5 small print more than a million homeowners today enjoy the
6 lasting beauty and protection of, you know, this Fabulon.

7 So this was an example of how following up is
8 identified really, you know, a novel indoor source of
9 PCBs. Many people, by this time, have sanded those PCBs
10 off and, you know, refinished. And the levels are lower.
11 And newer houses probably don't have this, but some
12 schools and other buildings, and -- you know, and homes
13 still do. It's low on time, right?

14 MS. HOOVER: Finish in a couple minutes and we'll
15 have time for questions.

16 --o0o--

17 MS. RUDEL: Yeah, I'm almost done.

18 So following up on high exposed individuals is --
19 well, it's responsive to individual expectations. That is
20 I think that participants in the study might feel
21 sometimes that if they have a particularly high exposure,
22 that you, as the researcher, would follow up with them
23 about that.

24 So it's consistent with the idea of a
25 surveillance program. So you are doing surveillance to

1 understand from, you know, a public health point of view
2 or from a research point of view. And looking at what's
3 happening with high exposed people is consistent with
4 those goals.

5 It can generate important new information. So
6 who are the high exposed populations? It can help
7 discover, you know, undocumented sources. It can really
8 help this really important problem, which is describing
9 population exposure variability, which, as Dana pointed
10 out, is really quite substantial.

11 If you look at exposure distributions from almost
12 any data set, they're very, very highly skewed to the
13 right. And there's always about a 1 or 2 percent of the
14 population that's way out in front of everybody else.

15 And those are the people, they're hard to find.
16 If you're doing a health study, if you're trying to
17 develop biomarkers of exposure, those people are hard to
18 find. But these biomonitoring studies actually provide
19 the way to screen out and then focus on the high exposed
20 people.

21 And they highlight where public health
22 intervention and study could be most fruitful, because
23 that's where the action is. And we're actually in
24 conversation with the NHANES folks right now about trying
25 to do this. They've been a little bit reluctant to do --

1 you know, going back to participants, but they have
2 indicated some willingness to talk about it. And anybody
3 who's interested in this or think it's a good idea,
4 like -- because see me, because it will help for them to
5 have an indication of how widespread the interest might be
6 in this kind of activity.

7 --o0o--

8 MS. RUDEL: And so that's basically what I wanted
9 to say and just emphasizing my main points, that if we
10 review -- we should review the ethical frameworks in
11 thinking about benefits, autonomy, and justice as well as
12 potential harms. That we identified what people want to
13 know and have made some efforts to try to answer those
14 questions. That it's easy in communicating to do false
15 reassurance. And so that's something to keep an eye on
16 and figure out how to communicate messages. And
17 especially the challenge, you know, is severe with
18 risk-based or health-based guidance values around that
19 specific point, conveying the uncertainty. And that
20 following up with high-exposed individuals will be, I
21 think, very fruitful.

22 So thanks.

23 (Applause.)

24 MS. HOOVER: We have 3 minutes for questions. So
25 if anybody has any?

1 DR. PARK: I have a mic.

2 MS. HOOVER: Say your name.

3 DR. PARK: June Soo Park from the California EPA.
4 Thanks for the very nice presentation. Also great study
5 design, and fabulous collaboration group.

6 And 3 minutes, I have 2 questions. First of all,
7 you talk a lot --

8 MS. HOOVER: Just one.

9 DR. PARK: Select one?

10 MS. HOOVER: Just ask one.

11 DR. PARK: The first question is you talk a lot
12 about the sources, exposure sources. You found the one
13 all on the floor touching. Have you worked with some, you
14 know, the PCB emissions from the, you know, all the paint
15 and the concrete work? They recently published, you know,
16 that they might be another source in the indoor house.

17 MS. RUDEL: I'm sorry. I had trouble. I don't
18 have great hearing.

19 DR. PARK: The PCB source.

20 MS. HOOVER: Are you asking if there's other
21 sources in the house?

22 DR. PARK: Yeah, other sources of PCBs, yes.

23 MS. HOOVER: Besides the flooring.

24 MS. RUDEL: So there certainly may be other
25 sources in the house besides the floors. And, you know,

1 we found this one. And we didn't -- we didn't actually
2 test the floors. But one more clue actually that we had
3 is that the home with the individual who was higher than
4 anybody in NHANES, they had actually in the month
5 preceding our resampling and blood sampling, they had
6 sanded and refinished 2 floors in their house. So that
7 was another kind of piece that I used in thinking about
8 that.

9 DR. LUDERER: Ulrike Luderer, UC Irvine. Thank
10 you very much. I really enjoyed that presentation. It
11 was great. I kind of have a question related to the
12 report back and the format that you used. So, you know,
13 one of the things that I noticed was that you have this
14 logarithmic scale. And I was wondering whether, you know,
15 you had any comments on how difficult or not that was for
16 people to understand, you know, when making comparisons
17 both to other people within the same population and to the
18 reference values?

19 MS. RUDEL: Yeah, I -- we did think about it a
20 lot. It's really, because the data spans such a range,
21 the only way to do it. And since really it just -- you're
22 looking at your place in relation to others. We felt that
23 it did basically kind of convey that aspect of the
24 distribution.

25 DR. BARR: Hi. Dana Barr, Emory University.

1 Thank you for a great presentation, Ruthann.

2 I had a quick question when you talked about the
3 1 person who had blood levels higher than -- either it was
4 at the 95th percentile of NHANES or anyone in NHANES, and
5 was that derived from your report of from the raw data
6 or...?

7 MS. RUDEL: Based on the raw data, we -- from the
8 years that were most similar to the years when we
9 collected the blood sample, and then we took the age and
10 gender matching the people. So there were four residents
11 living in 2 houses that had these very high PCB levels.
12 And so all 3 of them were in the top 95th percentile. One
13 was as high as the highest, and -- actually of anybody,
14 but -- because it's an older woman. So they're higher.
15 They're the high group, anyway.

16 And then only one of them was not in the top 95th
17 percentile, and that person had just moved into the house
18 a few months ago.

19 DR. BARR: I thought that you had done that. I
20 wanted to actually just reemphasize that when you're doing
21 those comparisons, especially with the POPs and NHANES,
22 it's really important to go back to the raw data, because
23 the way they're displayed in the report with the variable
24 LODs, they don't report a percentile estimate that's lower
25 than the highest LOD. So you could actually have a 50

1 percent detection rate in NHANES and have no median value
2 there if it's above the -- if the median value is below
3 the highest LOD among the groups. So I think that's a
4 great thing that you did.

5 Thank you.

6 MS. RUDEL: Thanks.

7 MS. PATTON: Hi. Sharyle Patton from the
8 Commonweal Biomonitoring Resources Center.

9 What a great talk. Thanks a lot. What were the
10 legal ramifications of telling a person that they had high
11 PCB levels in their home, in terms of their reselling the
12 house and kind of information that they might need to know
13 or not know and how did you deal with that? One question.

14 And just a comment. As you know, Commonweal
15 Biomonitoring Resource Center, that's what Silent Spring
16 sometimes calls judo biomonitoring, which I like a lot.
17 And it's just to say that there has been a difference we
18 found in communicating data into communities where people
19 are giving blood or a biospecimen, just because they're
20 doing their civic duty and they want to help science to a
21 community who's absolutely convinced that a wide range of
22 problems are caused by exposure to a particular chemical.
23 So we've really had to work with that, and I'd love to
24 talk to you about that later.

25 MS. RUDEL: The legal problems are problems. And

1 we've actually, doing some kind of extensive work with the
2 Harvard Law School about how informed consents need to be
3 crafted in order to convey the risks and benefits of
4 getting the -- of getting the information, acquiring the
5 information, because some assessments are that, you know,
6 that it would rise to the level of something that should
7 be disclosed on a sale. So that's an issue. It's not so
8 much of an issue for biomonitoring of humans, you know,
9 but when you're doing home samples.

10 DR. KYLE: Hi. I'm Amy Kyle from UC Berkeley.
11 Thanks for your talk. I was wondering when you're
12 thinking about the what you can do part, you know, on your
13 graph and in your interactions with your participants,
14 whether you think about it in terms of what like what I
15 could do, in terms of my house, versus what could be done?
16 Like what we could do collectively? I'm wondering if you
17 make that distinction at all or how you think about that
18 question?

19 MS. RUDEL: We do actually. We do try to provide
20 both individual and social or policy level actions.

21 MS. HOOVER: Thanks Ruthann and all the audience.
22 So I'll identify myself. I'm Sara Hoover from OEHHA. I
23 just wanted to let you guys know that we're going to start
24 back promptly at 10:45 on that clock, so we have a
25 15-minute break, and we'll look forward to seeing you

1 back.

2 (Thereupon a recess was taken.)

3 (Thereupon an overhead presentation was
4 Presented as follows.)

5 MS. HOOVER: Okay. If everybody could take your
6 seats, we're going to get started. Sorry, I've been
7 repeatedly reminded to back off from the microphone.

8 Okay. So my name is Sara Hoover from OEHHA, and
9 I'd like to introduce Dr. Tina Bahadori who's going to
10 speak to us right now. And then after that, we'll be
11 having our morning panel.

12 DR. BAHADORI: Good morning, everyone. I'm sorry
13 I forgot to wear green apparently, but I look ethnic so
14 that should count.

15 (Laughter.)

16 DR. BAHADORI: First of all, thank you very much
17 for inviting me to this meeting. As I was telling Sara
18 and Lauren yesterday, I feel like I'm in a foreign
19 country. Living in Washington, in case you didn't
20 recognize our little monument there, just the world is
21 very different and the issues are addressed very
22 differently. And I'm sort of very glad to be here. It's
23 been a real grounding experience.

24 So I'm going to start with a little bit of
25 obligatory materials up front, tell you where I'm from and

1 what I'm doing.

2 --o0o--

3 DR. BAHADORI: And then I'll get into some of the
4 more, sort of, emotional perspectives.

5 One of the questions people ask is why are you
6 here and why should really industry care about this? And
7 I think what has really become apparent and we've worked
8 very hard to really communicate this to our executives and
9 the people who provide funding for our research programs,
10 that industry is really apart of this issue and we play a
11 vital role and we have a vital responsibility to join in
12 the dialogue that's a topic of this workshop and has been
13 a topic of several workshops that actually I've personally
14 organized and some of you in the room have helped me put
15 together.

16 As a body, the American Chemistry Council
17 represents companies that employ actually nearly a million
18 people even in this economy. And it represents \$670
19 billion of enterprise investments. So with that comes an
20 obligation and the responsibility. And this is just --
21 these are just U.S. numbers.

22 And that really, because we are a science-based
23 industry, because chemistry is the fundamental of what
24 we're doing and what biomonitoring is really all about,
25 that there are really opportunities, and learning

1 opportunities, here that really need to be taken advantage
2 of.

3 And from our perspective, really the future of
4 our product innovation, the future of our contribution to
5 the society is tied very closely to the outcomes of the
6 activities, like the California Biomonitoring Program,
7 like the NHANES program, and like a lot of the much needed
8 exposure measurement programs out there.

9 --o0o--

10 DR. BAHADORI: What is the LRI? I'm the managing
11 director of this program, which the Long-Range Research
12 Initiative. It would like to be long-range. When you're
13 in Washington, long-range is anywhere from 5 minutes to a
14 year. But I try to stay ahead of that game where
15 possible.

16 (Laughter.)

17 DR. BAHADORI: It's a program that's funded by
18 the American Chemistry Council. The money comes directly
19 from the industry contributions to the organization. And
20 it's designed to find that intersection of issues, like
21 biomonitoring, that have high value to the society, but
22 also have high relevance to the chemical industry. And
23 the idea is to sort of advance the science to get a better
24 understanding of how biological mechanisms are affected by
25 exposures to chemicals.

1 Obligatory. This is how we run the program.
2 It's basically a very public and open program. It's
3 almost -- the majority of the work is either done in the
4 universities or through collaboration with our NGO
5 partners. The work is published. Everything is in the
6 public website. And I'll show you that later.

7 --o0o--

8 DR. BAHADORI: It also a global program, so the
9 investment is actually pretty significant when you think
10 about the work that's done in Japan and Europe and in the
11 U.S. the focus is a little bit different. In Japan,
12 there's a lot of emphasis, even the biomonitoring work is
13 focused on multiple chemical sensitivity, issues related
14 to indoor exposures.

15 In Europe, this whole energy efficiency issue has
16 really affected a lot of their focus on the types of
17 studies that they do. And they do a lot of models,
18 because of the regulatory driver of REACH. They do a lot
19 of models-based research and then a lot on chemical
20 sensitivities.

21 And in the U.S., we have sort of a mixed program,
22 and I'll explain that in a minute.

23 --o0o--

24 DR. BAHADORI: So in this year, but this is
25 probably a fairly typical, sort of, distribution of our

1 research, the bulk of the science in our chemical industry
2 companies is really focused on toxicology. They call it
3 health sciences, but it's really toxicology.

4 So since that's where the energy and the momentum
5 comes from, about 60 percent of our research is really
6 focused on toxicology, but it's really looking at moving
7 ahead away from traditional and more toxicologic to the
8 extent possible and looking for innovative and more
9 efficient ways that give you more information in a more
10 timely fashion about chemicals.

11 So it does involve looking at a lot of the
12 genomics, toxicogenomics. It does involve a lot of
13 investment in the high throughput assays and
14 interpretation of data from that.

15 But what's new is that we were able to persuade
16 our CEOs and the people who give us the money that all of
17 that hazard information is only like a one-handed animal.
18 That without the exposure information, it's going to be
19 impossible to contextualize the hazard information, and
20 more input needed to create interventions, and to
21 understand what is it that's causing those effects and
22 what needs to be done.

23 And about 10 percent of our research is involved
24 in outreach and translation. And what that means is that
25 we were doing really well going along, doing a lot of

1 research and publishing it out there in literature. But
2 you put it out there, and you just pray to God somebody
3 makes eye contact with the paper and does something with
4 it.

5 So what we found is it is really difficult to use
6 science for decision making, unless you hold meetings like
7 this, where you bring people from different backgrounds
8 and different technical expertise, and have these
9 conversations and the lessons learned from people who've
10 been doing this work for a long time, about what's working
11 and what's not working. And I'm going to explain in a
12 minute, how does some of this effort really help shape our
13 program, from where you are today to probably a very
14 different direction than where we are today.

15 --o0o--

16 DR. BAHADORI: I also want to say, I mean, my
17 bias is as, that you may have heard Dana is the current
18 President of Society of Exposure Science, and I'm her
19 immediate past president. There is a bias here.

20 To us, biomonitoring is really a surrogate for
21 exposure science. And why is it exposure science is
22 important? It's because it creates that bridge between
23 the exposure to chemical, physical, and biological agents,
24 and ultimately health. I mean, we don't care about
25 exposures for the sake of exposures. There's a context of

1 DR. BAHADORI: So why does it matter? Because it
2 is really a crucial component to understanding health. It
3 puts the hazard data in perspective. If you don't know
4 what exposures are occurring, when, where, and how -- and
5 I'm not just talking about occupational exposures in a
6 facility, I'm talking about incidental, and really if you
7 think about it, is the collateral exposures, is what we
8 call it. Exposures that occur when people aren't even
9 aware that what they're doing is creating an exposure.

10 That's the challenge, and those are the ones that
11 are contributing to this conundrum of understanding
12 chronic health effects that we didn't really understand in
13 the past.

14 --o0o--

15 DR. BAHADORI: The other issue is that within the
16 field, within the work that Dana and I have been doing, we
17 really are pushing to move away from this reactive
18 science, from reactive public health policies that
19 basically are ready to pounce when big old disasters
20 happen. And even when they happen, like the oil spill
21 that we had about a year ago, we still really don't know
22 what to do.

23 What we're trying to do is move the science to be
24 nimble predictive to be really protective in the true
25 sense of public health protection, and to help with

1 disease prevention. So that's sort of the motivation for
2 a lot of the work that we're doing.

3 --o0o--

4 DR. BAHADORI: This is a slide that actually Sam
5 Wilson, who was at NIEHS at the time really presented to
6 us at one of the meetings, maybe about now 6 or 7 years
7 ago, where he was trying to sell why biomonitoring was
8 really a good investment to make -- that absent, you know,
9 other information, that it provided really good linkage to
10 move from data that often -- for example, EPA collects
11 data at regional and national city level. Some
12 enterprising scientist with a little tiny little grant
13 does a little bit of community level. Some enterprising
14 NGO goes in there and actually gets into the environments
15 where people live and spend their time and their children
16 crawl around on the ground and do some additional
17 measurement, and then we pray to God that somehow with
18 that little device that hangs on you, for 4, 8, 12 hours,
19 that somehow you have, by some will of God, have now
20 captured exposure information. And his premise was that
21 that's just simply not efficient.

22 So he was arguing that biomonitoring is -- at
23 least it gets you a little bit closer and it gives you
24 more information about exposure.

25 But we argued with him that biomonitoring tells

1 supported even developing novel biomarkers, more efficient
2 analysis of biomarker data. We invested in studies that
3 look at issues related to limit of detection issues,
4 regarding do you pool samples, do you not pool samples?

5 So in our first iteration, I'm talking around
6 2006-2007, we need a lot of investment in sort of
7 advancing that science. But then quickly we're where you
8 are today. We couldn't articulate the relevance,
9 especially as industry. If we had the biomonitoring data,
10 there was an expectation that we knew what the heck it
11 meant. Well, clearly, we don't in most cases.

12 And it was very difficult to understand the
13 questions related to exposure frequency, concentrations
14 and pathways. And then really to understand, if we took a
15 sample and something wasn't there, does it mean that the
16 exposure actually didn't occur or did we just miss that
17 window when we took our measurements? So that ended up
18 being a big issue that continued to plague us.

19 But because it was such an opportunity, because
20 it helped us populate that black box, when people asked
21 you what do you know about exposures, we can say, oh, look
22 at the NHANES data or look at this. At least it gave us
23 something to say. And it was really a better, faster,
24 cheaper method to collect, you know, in a more prevalent
25 way the data that was needed.

1 --o0o--

2 DR. BAHADORI: But of course, as we all know, and
3 as we heard from Dana today, exposure is dynamic. I'm
4 going to be using some of the slides that Steve Rappaport
5 and I developed for a workshop that we did for the
6 National Academies earlier this year -- no, early 2010,
7 and we're going to be repeating some new information later
8 this year.

9 It's very clear that levels of both exogenous and
10 endogenous chemicals vary within and between individuals
11 and across populations. This variability can be, at
12 times, 10-fold or even Steve Rappaport has data that shows
13 it can be up to 10,000 fold. That type of variability is
14 very, very difficult to characterize or to predict without
15 having actual empirical data, and without collecting that
16 data in a longitudinal study and through repeated
17 measurements.

18 So these wild samples serve a purpose. They have
19 tremendous value, but they're not really sufficient to do
20 health risk assessments and they're not really sufficient
21 to do -- to characterize information that you really need
22 to create effective interventions.

23 --o0o--

24 DR. BAHADORI: So the concern became again,
25 looking at NHANES, is that we're generating volumes of

1 data, but we're not really making -- not as a nation and
2 not as an industry, we're not making the comparable
3 investments to interpret the data. And without this
4 investment in interpretation, there was almost a sort of
5 an ethical obligation to understand then why are we
6 collecting these samples if we can't really do what we
7 need to do to be protective?

8 So we held a workshop much like this in 2006.
9 And it was in Minneapolis. It was a transdisciplinary
10 workshop. We brought a lot of people together from a lot
11 of different fields. And we quickly learned that the
12 questions were far more complex than we were equipped to
13 answer.

14 --o0o--

15 DR. BAHADORI: The next year, we held another
16 workshop, this time with EPA, to say okay, maybe we can't
17 do, you know, risk assessment. Maybe we can't do exposure
18 intervention. But maybe, if we're creative, we can do
19 some public health interventions. Maybe it can tell us
20 something about trends. Maybe it can tell -- yeah, so it
21 tells us something, but it doesn't really tell us enough
22 to do what we need to do if we really want to understand
23 the exposures and want to mitigate them.

24 --o0o--

25 DR. BAHADORI: So from those 2 workshops, it

1 became clear that we needed to increase the resources
2 devoted to exposure studies that included biomonitoring to
3 actually -- that had to be done consciously. It couldn't
4 be an afterthought.

5 You really had to think about what are you trying
6 to do with your biomonitoring data, and make the
7 commensurate investment to characterize the totality of
8 the paradigm to contextualize the real world exposures,
9 and to understand the human element, the intra- and
10 inter-individual variability.

11 And to make that investment, to understand how
12 that can be tied into risk assessment and to move away
13 from the sort of studies that inform you about a small
14 population and see how you can extrapolate to
15 population-based data.

16 --o0o--

17 DR. BAHADORI: So we then quickly put out a group
18 of RFPs that we started looking at how do we go about
19 characterizing predominant sources and pathways of
20 exposures for susceptible populations. And again, I'm
21 talking about what we would characterize as incidental
22 exposures.

23 To look at the relationship between environmental
24 contaminants and biomonitoring, and then to develop sort
25 of more holistic methods, better PBPK models, better data

1 for those PBPK models, that tell us -- give us more
2 information about dosimetry and give us more information
3 about dose at that relevant cascading level of the
4 biological entity at the human level.

5 --o0o--

6 DR. BAHADORI: But we quickly found that that was
7 difficult to do, because even a million and a half, \$2
8 million for an exposure study didn't really go very far,
9 and it came down to just characterizing a very small
10 population. So we said okay, let's look at the literature
11 out there. We saw that there's a comparative toxicology
12 database, that was developed as a product of an NIEHS
13 grant, cost about a million dollars a year...where
14 scientists up in Maine, they hand curated data from
15 published literature, where there's information on gene,
16 the presence of a chemical and a disease. So if those 3
17 components exist in a paper that paper gets hand curated
18 into the database.

19 So we met with the investigator and we asked,
20 well, why don't you have exposure information? Why do you
21 just have environmental concentrations? He said -- she
22 said, exposure, what's that, and where is it? I've never
23 run across it.

24 So we produced a bunch of papers, and we told her
25 to look at it. Some of them I think were actually

1 Ruthann's papers. And she said, "Huh, I've never seen
2 these papers".

3 So it quickly became clear that going back to
4 what Dana said initially, there is an incredible language
5 and conceptual disconnect between the people who do
6 exposure studies and the people who do health studies.
7 And biomonitoring people tend to come mainly from the
8 health and dose side of the equation and less from the
9 exposure side of the equation.

10 So this disconnect meant that they weren't even
11 looking at each other's literature, and that -- given the
12 paucity, I mean, that almost seemed criminal. They won't
13 even look at what's available or that people have data.
14 They've collected data, but there were never sufficient
15 resources to analyze the data to make it publicly
16 available to incorporate it into a part of a larger
17 picture, because often the people who are running around
18 doing measurements, don't get around to doing anything
19 beyond the rudimentary analysis that helps you describe
20 the data. They don't get to do the more sort of complex
21 and more interesting analysis that allow you to look
22 across maybe a meta-look at a bunch of other data and get
23 information in other ways.

24 So we created this ontology project, which was a
25 relatively small effort, to take this NIEHS grant and

1 create a language that helps you connect the exposure data
2 to this. And that project was just completed and the
3 paper was submitted. It doesn't mean that there's any
4 exposure data in it yet, but we just created the
5 translating machine at this point.

6 --o0o--

7 DR. BAHADORI: The other thing that we did, we're
8 led by a biomonitoring sort of investment. And Lesa is
9 going to talk later about the Bio -- the BE project, which
10 actually wasn't funded by my program, but was very
11 informative. And Lesa took that work and collaborated
12 with ToxCast Program to try to make sense of some of their
13 dosimetry data, and she'll talk about that later.

14 But one of the things that we did in a related
15 manner is we took the work that was going on at the
16 National Center for Computational Toxicology where these
17 assays were being used for Phase I chemicals, which was
18 mainly the really well characterized mostly pesticides or
19 related inerts.

20 So we took the assay data from that and we worked
21 basically from the NHANES data to see if we could
22 reconstruct the oral dose equivalent from the
23 biomonitoring data to get a sense of where the exposures
24 fell within the -- so each one of these box plots for each
25 chemical shows the range of effect that was observed from

1 those high throughput assays that were being used by
2 ToxCast.

3 There's a lot of questions about whether those
4 assays are, in fact, relevant. But that was what EPA was
5 using to determine whether there are effects associated
6 with a particular chemical. So we took those -- this
7 represents the effects seen at the various levels, because
8 they're able to test at various levels.

9 So we took the NHANES data and reconstructed the
10 oral dose equivalent, and demonstrated that for the
11 majority of the Phase I chemicals that the population
12 level exposures, as represented by NHANES, was, in fact,
13 for the majority of those Phase 1 chemicals, again
14 well-characterized chemicals, was well below, for the
15 majority of them, for any level at which any effect was
16 being observed.

17 There's some exceptions, like triclosan that then
18 resulted in additional testing and studies. Now, there's
19 a lot of issues here. We know what the issues are with
20 the NHANES study. We know what the issues are with these
21 assays. But this was a first attempt at doing, you know,
22 connecting these big databases together.

23 So since that worked so well and we had a good
24 understanding of that set of chemicals, we moved in and we
25 just started a new project to look at the Phase II

1 chemicals, which is primarily consumer chemicals about
2 which we have very little NHANES or otherwise exposure
3 information. So the true test of our creativity will be
4 what we can do here. And this is a project that literally
5 began just a month ago.

6 --o0o--

7 DR. BAHADORI: But the project that I talked
8 about the work that's done has already been published. As
9 you can see, it's a collaborative effort between EPA and a
10 number of people that you may know well.

11 --o0o--

12 DR. BAHADORI: So I'm just going to wrap up -- I
13 was just given the 5-minute sign -- to show where we're
14 going with our research. It has become really clear that
15 this sort of measuring exposures, whether through
16 biomonitoring or through environmental exposures, sort of
17 measuring exposure for the sake of exposure wasn't really
18 going to get us there.

19 So there was a workshop earlier in 2010 that
20 introduced this concept of the exposome, where Christopher
21 Wild, who is now the head of IARC, the International
22 Cancer Research Agency or something like that, recognizing
23 the disparity and the current knowledge between genes and
24 environmental exposures. Chris Wild defined the exposome
25 as representing all environmental exposures, including

1 those from diet, lifestyle, and endogenous sources from
2 conception onwards as a quantity of critical interest to
3 disease etiology

4 --o0o--

5 DR. BAHADORI: He put that out as a challenge
6 saying if you really want to do this right, we really need
7 to create a paradigm shift, where the exposome is
8 everything from all these sources, though we don't really
9 go there and just measure things and not understand in the
10 end the collective impact on the body, the cumulative
11 impact on the body. And the exposome gives you the
12 ability do that.

13 --o0o--

14 DR. BAHADORI: It also, if done right, and even
15 within this exposome community, there are people who are
16 primarily focused on the measuring what's inside the body.
17 And then from that, trying to extrapolate what could have
18 happened outside the body.

19 And then there's people who are focused on what's
20 going on -- you know, doing these detailed
21 characterizations of what's going on outside and then try
22 to see if they can link it to the biomonitoring or the
23 otherwise biomarker data.

24 The proposal of the exposome is for the community
25 to really work together and to bring these concepts

1 together in a more thoughtful way, so that we're not
2 always working under the lamppost that we like with a
3 particular hue of light that we like, but we do this more
4 collectively.

5 So taking the lead from this effort, we actually
6 started the project late last year, which begins to look
7 at some of these novel biomarkers of the exposome, in
8 these cases, to characterize endogenous and exogenous
9 levels of PAHs. And then to make sense of it, we created
10 a collaboration between the study at Berkeley and the work
11 that EPA was doing character -- of doing biomonitoring of
12 blood and urine from the MICA study, which is children's
13 asthma study, and trying to see if we could reconcile our
14 observations between these novel biomarker data that are
15 collected really from a simple blood spot to the more
16 larger scale observational study.

17 So we have great expectations from that project,
18 and I believe the first paper from that will come out
19 later this year. Although, since that's a continuation of
20 a lot of the work that Steve Rappaport and Martin Smith
21 have been doing at Berkeley, there certainly are a lot of
22 related and relevant papers that have come out in the past
23 4 or 5 months.

24 So I'll just conclude with a little bit of
25 shameless advertising. We have a workshop coming up in

1 June, that we're doing in collaboration with Health Canada
2 that is really addressing this issue of advancing exposure
3 science to improve chemical safety, whether it's
4 biomonitoring, exposomics, we hope to address it in this
5 way. And, of course, Health Canada has a lot of
6 experience looking at this topic of characterizing
7 exposures, and also the biomonitoring issues that they've
8 been working on especially with children.

9 --o0o--

10 DR. BAHADORI: And then I alluded earlier to our
11 website where a lot of our information is publicly posted.
12 And that's where the website is americanchemistry.com/lri.

13 --o0o--

14 DR. BAHADORI: And the finally I just sort of
15 want to go back to the point I made earlier that we have
16 found that really it's in these workshops and in these
17 sort of gatherings like this that you really translate the
18 science and you really make some useful collaborations
19 come out of these meetings, and that create opportunities
20 that don't exist when you just sort of throw that
21 publication in the wind and hope that it lands somewhere
22 interesting.

23 So that's it.

24 (Applause.)

25 MS. HOOVER: Okay. We have a few minutes for

1 questions for Tina, so if anyone has questions. Also, I
2 forgot to tell people on the webinar that you can Email
3 questions to biomonitoring@oehha.ca.gov. And we can read
4 your questions aloud.

5 So any questions for Tina?

6 DR. ZEISE: Very nice talk, Tina. Thank you.

7 Could you say a little more about where you see
8 the research going on translating data like in ToxCast
9 into comparisons with biomonitoring exposures and what
10 your plans are in that regard?

11 DR. BAHADORI: Yeah. So a significant part of
12 our research over the next, I'd say maybe, 5 to 6
13 million -- that's significant to me. It may not be
14 significant for others but -- is going to go into that
15 translation activity. So we have got several pockets of
16 research are in there. One is this Phase II analysis that
17 we said -- that we're fairly sure NHANES is not going to
18 give us everything we need for the consumer exposures, but
19 we're going to use NHANES where possible. We have access
20 to some other biomonitoring data, and we're going to use
21 that. And then we're going to use our -- we have another
22 project going on where we're trying to reconstruct
23 exposure indices.

24 So we may not be able to model exactly exposures,
25 but we can get ranges or indices of exposure that tell us

1 enough, so that we can tie it back to the ToxCast data and
2 marry the exposure indices with the toxicity indices and
3 try to see if we can make those connections.

4 Now, as we get data richer, as we do more
5 measurements, as we do better models, we can come back and
6 enrich these indices and make them closer to reality. So
7 we have a ground-truthing exercise that actually Bette
8 Meek who was at Health Canada, now who's at the University
9 of Ottawa is going to lead in helping us ground truth as
10 more data comes in through our other studies or through
11 our exposure modeling efforts, how much does it really
12 only make those indices a little better. It's the same
13 question as is biomonitoring close enough to what you need
14 to know about exposures? And she's going to help us with
15 that ground-truthing exercise.

16 We're also starting to work -- this is also work
17 that we're doing with both EPA and NIH to try to see how
18 much of the data that we have, either from the biomarker
19 studies or from the hazard data that's coming from ToxCast
20 can be used in actual risk assessment, and how close is
21 close enough again. If you are able to move away from the
22 more complicated and difficult to do animal studies, are
23 there some set of chemicals that you know enough to be
24 able to make some decisions about, because of course we
25 can keep going forever.

1 But the premise is that there are some things
2 that you can just lay to rest if you would get some
3 consensus around it.

4 So as you see, it's really -- so the
5 contribution, Elaine Cohen Hubal actually described our
6 program as catalytic. So we don't have the resources that
7 we used to have, \$25 million dollars a year, to do a lot
8 more, sort of fundamental research. But that was really
9 difficult to demonstrate the value of.

10 So now we've gone into these areas where we're
11 trying to take the data and actually make sense of it and
12 use it towards decision making, which is the point of that
13 last arrow.

14 And I actually have a table that summarizes our
15 current research and I'm happy to send that to you
16 actually.

17 DR. BRADMAN: Asa Bradman from Berkeley. I have
18 one question and one comment. The comment I just want to
19 underscore, your mention of the need to look at within and
20 between variability. And an issue that I think we need to
21 consider here in the program is how that affects report
22 back.

23 You know, for example, some of the work we've
24 done, we've seen order -- you know 2 order of magnitude
25 changes over just a couple of days, which means that, you

1 know, reporting single measurement back to someone, the
2 measurement itself is, on an individual level, is
3 basically meaningless. On the population level though it
4 probably has some meaning. So I think that is an
5 important issue.

6 The other question I have is, does the ACC and
7 your program have any thoughts or positions on reporting
8 results -- individual results back to individual
9 participants, in studies like this and providing
10 interpretation and guidance?

11 I know one concern I have about this program or
12 any biomonitoring program is that that becomes -- you
13 know, when you get into the realm of risk assessment
14 health interpretation, it can get extremely complicated,
15 especially with many different kinds of compounds. You
16 have reconstructing doses. You have high variability.
17 And I'm wondering if your organization has thoughts on how
18 that should be communicated.

19 DR. BAHADORI: Okay. So with regard to your
20 first point, I completely agree with you. And, in fact,
21 Lesa has a project that has a -- is just beginning, that's
22 actually going to try to characterize some of that
23 variability given the data that she's been able to lay her
24 hands on, and address that question.

25 As you said, it's really relevant when you talk

1 about individual level exposures. It's less relevant --
2 she might actually be able to prove that it's actually
3 equally relevant on the population level, but you might be
4 able to fudge around when you're talking about population
5 exposure. But certainly at the individual level, the
6 variability is very important, and she's just starting a
7 project looking at that.

8 But with regard -- I mean, certainly the position
9 of the industry has been that communicating individual
10 level exposures with this amount of unknown is -- you
11 know, it creates responsibilities that are not -- can't
12 really be met. They can't be met by us and they can't be
13 met by the scientific community.

14 Of course, we get push back with the fundamental
15 question that if you have that data, would it be more
16 responsible not to communicate it at all?

17 And since I'm on the research side of the
18 program, I get to grapple with these same issues very
19 well. But certainly the position of the industry is that
20 it really needs to be contextualized. It needs to be
21 explained. Where we know something about the risk
22 assessment, and Lesa is going to talk a little bit about
23 that, we ought to be able to put it in context. And where
24 we don't know, we have to contextualize and be honest
25 about the fact that we don't know. But that's really no

1 comfort to the person who's receiving the data.

2 MS. HOOVER: Okay. I think we'll go onto the
3 Panel part of the morning. So if Ruthann, and Dana and
4 Tina actually, don't leave, come on back. We're going to
5 have the 3 of you sit up here.

6 And we wanted to just start by letting the 3
7 morning speakers have a chance to say anything they want
8 to say from having heard the other speakers. So just take
9 a seat.

10 And, Dana, did you want to start off with any
11 comments about the morning?

12 DR. BARR: Yeah, I think so. First of all I'd
13 like to commend the other speakers this morning for
14 excellent presentations. I think that they both hit on
15 very interesting topics. One being, you know, what kind
16 of information do we give back to participants and how do
17 we reliably put that into context to avoid harm, to avoid
18 unnecessary concern. And I think that that's a great
19 thing that Ruthann has been working on.

20 Of course, you know that I've been working fairly
21 closely with Tina on trying to come to graps --

22 DR. BAHADORI: Grips.

23 DR. BARR: Grips, thank you -- grips with this
24 exposome concept. And we've got some ideas on how we can
25 move that forward. And I think it's really a progressive

1 way and a holistic approach to try and get at human data
2 and how those human data relate to changes in our body and
3 disease. And not just external exposures, but especially
4 including endogenous exposures, including stress, dietary
5 exposures, exercise, and everything else that has -- that
6 will have some sort of an impact or interrelation with our
7 chemical exposures as well.

8 MS. HOOVER: Ruthann, did you want to comment on
9 the morning?

10 MS. RUDEL: Well, I just -- this is a frontier.
11 I think it's a little bit almost, not quite the wild west,
12 but it's close and there are a lot of opportunities and a
13 lot of challenges. So, you know, California is in the
14 vanguard again, and gets -- you know, I think there's some
15 really great things that can come out of this.

16 And I think especially thinking of the ways to
17 use the biomonitoring information for something for a
18 greater end than just knowing the levels. And so tying it
19 to other questions that we need to answer in order to
20 understand health effects.

21 And I'll go back to for example the idea of
22 following up on high -- and also even just thinking about
23 high exposed individuals and incorporating that into the
24 presentations. In fact, when I was -- during -- Tina,
25 during your -- one of the slides that you showed, which

1 was from the Rotroff paper, I guess, with the -- so it
2 shows a box and whiskers for the outcomes of various in
3 vitro ToxCast assays adjusted for some -- it's adjusted
4 for some pharmacokinetic parameters.

5 DR. BAHADORI: Yeah.

6 MS. RUDEL: And then -- but then the exposure
7 numbers is a dot and --

8 DR. BAHADORI: Right.

9 MS. RUDEL: -- it needs to be more than a dot.
10 You know, I think the health effect information and the
11 exposure information both need to be distributions. And
12 on the exposure side, it's -- even, I know, you know, we
13 have a tradition in risk assessment of thinking about like
14 the median and the 95th percentile. But, in fact, when I
15 see from distributions is often the 95th percentile is
16 actually fairly close to the median. The skew is so great
17 that it's the 1 percent.

18 And it can sound like, oh, it's so extreme to
19 think about this top 1 percent, but that's actually a lot
20 of people. And so I keep coming back to that the action
21 is there. And then -- and I think we have a lot to learn.
22 I think we can identify some, you know, early effect
23 markers, and really, I think, from studying that group
24 really start to get a handle on when -- you know, what
25 level of exposure you do start to see effects in, and

1 whether things are relevant to humans or not relevant to
2 humans and so on.

3 DR. BAHADORI: So actually 2 points. One is the
4 thing that you pointed out is a major source of
5 embarrassment for us. Because we went out, we made a big
6 stink with the NCCT to say, oh, you're going to do --
7 basically, you're just replicating high throughput
8 toxicity for these cells and what are you really
9 achieving? You know, nothing about what a relevant
10 exposure is. And so they came back with a robot that they
11 now have that can measure anything you want in five
12 minutes for 1,300 chemicals.

13 So they said, great, just tell us what exposure,
14 what doses should we do? What are relevant population
15 exposure levels? I said, it beats me. I really don't
16 know. We didn't have the data. We had some models, but
17 we didn't really have the data to tell them what relevant
18 consumer incidental exposures were. Not that occupational
19 levels are irrelevant, but we know more about those and we
20 know less about the consumer exposure.

21 So they took a set of doses, I think they did 15
22 doses, to what looked low enough to them. But as you saw,
23 that low enough was still much higher than what we're able
24 to measure in NHANES. And those were really single data
25 points. So that was really embarrassing and thank you for

1 pointing that out.

2 (Laughter.)

3 DR. BAHADORI: The second thing I wanted to say
4 is that really you are in the vanguard of this effort.
5 And just whatever we do on the east coast, we always
6 invite people from California to come and tell us what to
7 do. So you're right here. If you're going to start and
8 really invest even in these meager times on this
9 Biomonitoring Program, you ought to really get together --
10 this Committee should get together with people like Steve
11 Rappaport and Martyn Smith, who have been doing a lot of
12 work on this exposome, to see if there are leveraged
13 opportunities. Not to replace what you need to do through
14 the Biomonitoring Program, but to see if there are
15 additional ways in which you can advance the frontier of
16 the science.

17 Steve looks for the same blood spot level and he
18 has less of a confounding from his papers. He's been
19 thinking about this for a long time. So I think, you
20 know, getting some discussions going through that
21 expertise. The work that Asa has been doing, the work
22 that Tom McKone has been doing from the exposure side, I
23 mean, you have a wealth of knowledge here that could
24 really inform the program in a more, sort of I think,
25 dramatic way.

1 Again, you're already in the frontier of the
2 health policy side, but I think you would also be in the
3 rocket science end of the science, because you have the
4 right people here.

5 MS. HOOVER: Okay. So now I want to open it up
6 to questions from the audience. And if anyone on the
7 webinar has any questions. And feel to ask questions of
8 any speaker.

9 DR. MARTY: Hi. Melanie Marty from OEHHA.

10 As you guys were talking about the exposome and
11 all this monitoring data, the question that I really have
12 boils down to what kind of data are available for these
13 exposome particular projects that evaluate early life
14 exposures, like, you know, cord blood, breast milk,
15 meconium? You know, to me there's not a lot of
16 information about that out there. So what are the
17 approaches to getting at that? And also to getting at
18 exposures during puberty, because, I mean, you know, in my
19 mind, the whole thing is windows of susceptibility, most
20 important.

21 DR. BARR: I'll be glad to start on that. I
22 think that there are a lot of -- or there are a growing
23 number of data sets out there looking at exposures during
24 the fetal period at using either the mom as a surrogate or
25 cord blood or meconium, and early childhood exposures, and

1 even adolescent exposures.

2 But none of them really are using this holistic
3 approach. Most of them are biomonitoring, measuring
4 single chemicals. As Tina had mentioned, looking under
5 the lamppost. And I want to take it a little step further
6 and just say looking under lamp -- not even looking under
7 the lamppost, but looking under the camera flash, because
8 it's a snapshot of exposure in time.

9 And so I think that what we need to do is take
10 some of these matrices, like cord blood and we can do some
11 of these exposomic types analysis that Steve Rappaport has
12 been doing and reporting, and trying to look at markers
13 that -- or any kind of chemicals that are in those
14 matrices that are perturbed in relation to exposures to,
15 you know, various chemicals and other stressors.

16 And so I think, as Tina had mentioned, you've got
17 a great opportunity here in California. You've got the
18 tolls. You've got the scientists. You've got the
19 funding. And you're in the forefront of most of the other
20 states -- well, all of the other states, maybe along with
21 New York, in trying to do this type of work. And so I
22 think that you have the opportunity now.

23 So exposome data has just been generated in a few
24 labs. The concept is really just catching on, but we'd
25 like to see it kind of catch on and move exponentially,

1 because the ability to get at this kind of information
2 could really move us forward fairly quickly, and exposure
3 science and understanding the role of exposure and
4 disease.

5 DR. BAHADORI: And to that point, Melanie, in
6 fact, later -- I hope it will be December of this year.
7 It may be earlier spring of next year we're going to do
8 another workshop as a follow up to the exposome. The
9 first one created an incredible number of conversations
10 and a minimal number of collaborations, not because there
11 wasn't the will, but there are no resources. Everybody
12 got really excited, but nobody pulled out a checkbook,
13 including NIEHS and EPA.

14 And really the idea was to really marry the
15 biomonitoring studies, the exposure studies, and these
16 omic studies. And they're all after the same thing.
17 They're coming at it from different angles. And some
18 incentive for collaboration really would make it possible.
19 So we're going to do this next workshop in December,
20 hopefully. We'll be looking at what are the data sets out
21 there and what are the technologies out there.

22 There's a lot of new work. I don't know if you
23 saw in Nature -- an article that was written by a
24 nature -- it wasn't a science research article, but it was
25 an article that looked at how some of these

1 technologies -- and it talked about the exposome, but it
2 also talked about the kind of work that Mike Jerrett does
3 with the GPS and all -- so it talked about how there were
4 these opportunities to marry these. And this came out, I
5 think, February 17th the issue of Nature, if you look
6 under one of the perspective articles.

7 So it's just slow as molasses. We just have to
8 keep doing this and keep making people talk to each other.
9 And one of the issues was that we kept talking about
10 national level investments. That really shut people down.
11 We couldn't even get a conversation with Dr. Collins about
12 this, because he's busy reorganizing NIH and doesn't
13 really have the resources.

14 So we thought we'd bring it down a little bit,
15 and talk about even how smaller investments that enable
16 these collaborations, enable sample exchanges, enable
17 bringing the Europeans here to see what they're doing,
18 would help. So we've sort of taken our rhetoric down a
19 little bit and hopefully it will be less scary.

20 MS. HOOVER: Okay. Ruthann, did you have
21 anything to add?

22 Okay. Just offering you the chance to say
23 anything.

24 MS. RUDEL: No.

25 MS. HOOVER: Okay. Any other questions from the

1 audience?

2 George.

3 OEHHA ACTING DIRECTOR ALEXEEFF: Hi. George
4 Alexeeff here from OEHHA. I want to thank you all for
5 your thoughtful presentations. And I've been sitting
6 here, and I've been -- we have this cumulative impact
7 project. And now I realize when I go to presentations and
8 symposia, I get cumulative evaluation in my brain and all
9 the presentations start combining and coming up with new
10 ideas and things.

11 So I was thinking of Dana's presentation about --
12 and you had mentioned a comment about -- well, it seemed
13 as you were saying that most of the exposures were from
14 dietary exposures or personal exposures. I wasn't really
15 sure exactly. So I was wondering if you could clarify
16 that, because you use the example cotinine, and you used,
17 I think, another -- you were talking about relative
18 concentrations.

19 But one of the concerns that I have is
20 environmental contamination through dietary exposure.
21 Like we have a fish advisory program, and so there's a lot
22 of, well, primarily methyl mercury, but there's other
23 contamination as well. So dietary exposure to us is, in
24 many ways, an environmental exposure.

25 And the only project -- the only kind of activity

1 that I'm really aware of that tries to go back and address
2 this kind of issue of these cumulative exposures in a
3 complete -- well, relatively complete way is this whole
4 TMDL approach. At least we have -- we have advisories,
5 fish advisories, that therefore then claim a waterbody is
6 now impaired. And now there's required for regulatory
7 bodies to figure out what are all the sources of that
8 methyl mercury that's going to that waterbody that's
9 impacting that fish, and then go back and try to, you
10 know, address all those different sources. That's kind of
11 a very cumulative thing. And it's very rough, as you can
12 imagine.

13 But I was just wondering if you know of any
14 projects that are trying to think of exposures that way,
15 where they basically kind of cumulate into a dietary
16 exposure, because we've been -- I've been very concerned
17 from the beginning of when we begin with our estimates of
18 dioxin exposure to infants through breast milk. And to
19 me, it's a terrible confounder, where you have this great
20 nutritional source and it's contaminated. And, you know,
21 you don't really -- you'd like that there be no
22 contamination, so, you know -- but you don't -- so you
23 have to inform them, but then you might scare them from
24 actually doing something that's really, really important.

25 And you can say the same thing with fish too.

1 It's a great nutritional source. And at the same time,
2 you're now basically introducing a compound like methyl
3 mercury, which basically is working opposite of what the
4 nutritional source is doing, or anyway. So I don't know
5 if you had any comments on how we could go back and look
6 at exposure sources that feed into an exposure.

7 DR. BARR: I'm thinking also breast milk comes to
8 mind when you have these matrices or these foods that are
9 nutritious but yet have potential environmental
10 contamination with it. When I was talking about diet, I
11 was talking about diet in a broader sense. Not just the
12 methyl mercury, not just the pesticides in water, but the
13 dyes in your food, the -- everything that you get that can
14 produce some kind of a species that can react somehow in
15 your body that have either an adverse outcome, a positive
16 outcome or some synergistic outcome with an environmental
17 toxicant or another component in the diet. So I was
18 talking about it in broader terms.

19 I don't really know how to address -- I mean, we
20 deal with this issue with breast milk a lot.

21 DR. BAHADORI: But there are projects. I mean
22 your question was are there projects? Yes, I mean,
23 certainly. I mean, Tom McKone is in the room. I saw him
24 walk in --

25 DR. BARR: He's over there.

1 DR. BAHADORI: Tom.

2 (Laughter.)

3 DR. BAHADORI: Tom has a number of projects that
4 are actually starting to do that. Again, a lot of them
5 ended up being primarily computational that are now being
6 populated with data as they become available.

7 So one of the challenges has been to locate the
8 data and then make them usable for these models. So some
9 of that work is going on.

10 There's also some smaller measurement studies
11 going on, but they're not really as comprehensive as they
12 need to be, because it's just too expensive to do.

13 Yeah, so there's, you know, a wee bit of work
14 going on, but better than nothing.

15 MS. RUDEL: And I'll add to that, that I have
16 another kind of new favorite study design, besides who's
17 high and why, and that I think can be really informative
18 about source apportionment of identifying, you know, do
19 you have the big one or not? And those are intervention
20 studies where -- following some kind of natural or
21 intended experiment.

22 And there are a couple examples from the
23 literature recently, and one that we'll be releasing in
24 the next couple weeks, but Alex Lu looked at kids -- these
25 are -- they don't cost a lot of money in many cases, and

1 you can do them especially for rapidly cleared compounds,
2 but -- so he enrolled a set of kids who ate -- who
3 normally ate conventional not organic produce. And then
4 provided alternative -- provided organic produce and
5 grains for a period of five days, and then they went back
6 to their normal diet, and measured daily urines on them.
7 And so for various pesticides, in fact, you can almost see
8 the grain ones versus the vegetable ones and so on. You
9 know, you can really get a good sense of the relative
10 importance of that source.

11 There's another kind of similarly designed study
12 that collected urine samples from people who were going --
13 I think it was a Japanese study -- going to a Buddhist
14 Temple stay for a set of days. And then they could see
15 change in the antibiotics that are used in fruit -- meats.

16 And so those are studies that actually can be
17 done sometimes relatively cheaply. And if you pick the
18 right -- you know, there's a gamble, up front, but you
19 have to have some information to pick the right exposure
20 and the right population and so on. But I think we should
21 spend some time thinking about opportunities there, yeah.

22 DR. BARR: And just one comment to follow-up on
23 Ruthann's. I mean, that work really did well to allow us
24 to look at exposure. But if you're wanting to look at the
25 impact on the body, then you may want to design a study

1 where you take longitudinal samples of people, blood
2 samples for example, prior to consumption of fish, after
3 consumption of fish over a period of time and look at
4 perturbations in the chemicals that you're measuring.

5 And using some of these omics approaches, so
6 you're measuring hundreds and thousands of chemicals
7 rather than individual, you know, 20 or 80 targeted
8 chemicals and just see how it changes after they eat, and
9 then after they've had a period to wash it out. I mean,
10 that will give you some idea of some of the health
11 outcomes or some of the health -- not outcomes per se, but
12 some of the health related issues that are related to
13 consuming those things.

14 So you might see the increase in some of the good
15 enzymes or increase in production of certain proteins or
16 you may see a decrease in some of the production of
17 proteins or certain enzymes as well. So I think that
18 that's another kind of study that we've looked at.
19 Specifically looking at tea, for example, drinking tea and
20 what kind of chemicals arise and disappear after you drink
21 tea. So that's another type of approach you could use.

22 MS. HOOVER: Questions?

23 Dale, up front.

24 DR. HATTIS: Yeah. George's question highlights
25 the difference between our usual standards of what counts

1 as good scientific information and what counts as good
2 information for decision making. For science, we -- the
3 hallmarks are validity and reliability. You're measuring
4 what you say you're measuring, and you're measuring a
5 reasonable reproduction, you know, reproducibility and
6 things like that.

7 For decision making, we want information that is
8 relevant to a choice, that is different across different
9 options we have available, and comprehensive, in the sense
10 that it measures or in some sense differentiates all of
11 the things that we care about across these -- this choice
12 space. And that's hard, but we've got to do our best.

13 OEHHA ACTING DIRECTOR ALEXEEFF: I'll move over
14 here, so you don't keep turning.

15 (Laughter.)

16 DR. HATTIS: And one of the things that I think
17 that we can realize as technical folks is that
18 sometimes -- and I'm going to be advocating this later --
19 is that -- and you mentioned some birth weight as an
20 intermediate parameter, that is a -- is one of a series of
21 biomarkers, essentially, that is a natural integrator of
22 the influences of -- of lots of different influences,
23 okay.

24 And so I think we want to have our biomonitoring
25 programs related as well as we can to some of these

1 natural integrators of effect that we can then relate to
2 health -- rare and hard to measure health outcomes that we
3 care about.

4 MS. HOOVER: Okay. Any other questions?

5 Let's see, back there. Someone who we haven't
6 heard from before. Can you identify yourself.

7 DR. GERONA: Roy Gerona from SFGH, San Francisco
8 General Hospital.

9 I come from a clinical toxicology background, so
10 when we study say as side effects or toxic effects of
11 drugs, we look at drug metabolizing enzymes. So we have
12 the studies actually that we started where we look at an
13 array of all the snips for particular drugs.

14 When environmental toxins are small molecules
15 that are also similar to drugs, they have to be
16 transported. They have to be metabolized. They have to
17 be transported to their targets. I was just wondering
18 when I read the literature on environmental toxins, most
19 of the genomic studies are basically studies looking at
20 what particular enzymes or what particular genes are
21 up-regulated or down-regulated. I don't see much study on
22 metabolizing enzymes transporters.

23 So I was just wondering -- and this is to
24 everyone -- if you have come across particular studies,
25 like say, for example, using -- I know there's a atonatics

1 dysnema chip that is about 2,000 snips under metabolizing
2 enzymes.

3 So when you look at the complete picture, right,
4 when you look at say exposure studies, when you look at
5 different mechanisms of toxicities of environmental
6 toxins, has there been groups that are looking at this
7 particular niche, because we're trying to start doing
8 that, and we want to identify people where we can do
9 collaborations with or probably seek advice to study the
10 signs and stuff like that.

11 DR. BAHADORI: The National Academy of Sciences
12 actually had a meeting that started a lot of this effort
13 to learn from the pharmaceutical side to incorporate
14 metabolism into how we look at the presence and clearance
15 of the small molecules.

16 Initially, we didn't have good tools to
17 incorporate that into the types of assays that we were
18 looking at, mainly because our assays were sort of off the
19 shelf. We just took what was available, and we didn't
20 really design assays.

21 So there are now efforts to design relevant
22 assays to incorporate metabolism. Resource is a little
23 bit of an issue, but that's picking up. And there's a lot
24 of intersections between people from the industry who
25 would come from both pharmaceutical and the small

1 molecules background and they're collaborating. So
2 there's a lot of work, for example, going on down at NIEHS
3 in this area. There's also at NCGC and at EPA, as well
4 industry-related research. So it's just starting.

5 You know, not having the right environment to do
6 the genomic studies was part of the problem. They're sort
7 of mimicking the pharmaceutical studies, but they weren't
8 really exactly transferrable. So it's just starting to
9 get there.

10 MS. HOOVER: Okay. Mike Wilson.

11 DR. WILSON: Hi. Mike Wilson. I'm at UC
12 Berkeley and a member of the Science Panel for the
13 Biomonitoring Program with a number of my colleagues here
14 today.

15 And as a questions for Dr. Rudel and maybe I'm
16 picking up on Dale Hattis' question that you noted that in
17 the context of the California program that we should
18 consider using the data for other purposes, in addition to
19 sort of tracking and reporting results and levels. And
20 I'm just wondering if you could talk a little more about
21 that.

22 Thank you.

23 MS. RUDEL: Well, I guess I'm thinking about
24 trying to use it to tie to help us better understand the
25 relationship between exposure and health effects in humans

1 by doing some of the studies, looking -- so looking for
2 early effect markers and so on, in addition to just
3 looking for exposure markers. And that that work could
4 be, I think, done most, you know, efficiently and by
5 focusing on the samples that are on people who have high
6 exposures to particular compounds.

7 So that's -- I think, that's really the main
8 thing. I mean trying to tie it to things that we need to
9 know. We're also -- you know, there's a real need for
10 better ways to measure exposure in some longitudinal
11 health studies, big cohorts, like the National Children's
12 Study. And we're all -- you know, many in the exposure
13 science side of things are aware of the limitations really
14 in just having biological samples.

15 And so -- but there's a -- I'd say there's just
16 sort of some challenges and lack of information and lack
17 of resources for developing good methods for, you know,
18 okay what is your household exposure for -- you know,
19 what's one measure we can collect one time when we're
20 there that's going to tell us everything we want to know
21 about -- you know, about exposure.

22 So I think there are some opportunities to
23 develop technology -- you know, some technology
24 development, in terms of -- and that those can be
25 piggy-backed with the Biomonitoring Program.

1 And I guess another, you know, area is that in --
2 there are Epi studies -- I'll use -- I'm going to use
3 phthalates as an example. So some of the human studies
4 with looking at phthalates and health outcomes, I'll
5 find -- say there's an association between monoethyl
6 phthalate in urine and health effects that are endocrine
7 related. And since we don't really see many endocrine
8 effects from diethyl phthalate in laboratory studies, you
9 know, questions about what's going on. And I think
10 exploring co-exposures, so DEP is actually really a marker
11 for fragrances. And many fragrances are endocrine
12 disruptors.

13 And so I think it can help the epidemiologists
14 deal with, what they call, uncontrolled confounding, that
15 basically, you know, they measure one thing. And then
16 there's, you know, 50 or 100 or 1,000 other things that
17 are going to co-vary with it. And we don't know which one
18 is the real McCoy.

19 MS. HOOVER: One last question here.

20 Jianwen.

21 DR. SHE: Jianwen She, CDPH, Biomonitoring
22 Program, Laboratory Leader. And I have actually -- a
23 laboratory, I have a question to Dana. During your talk
24 you mentioned about the realities, like detection limit
25 dynamics, but our collaborator, for example, the

1 epidemiologist. Not likely you report the data of the
2 change, because we like to compare different studies. So
3 once you provide the different detection limit, do you
4 magically change and then make the interpretation of data
5 more complicated. So how do you give that advice to the
6 people to work with us?

7 DR. BARR: Everybody likes nice clean numbers.
8 And so it's kind of hard to deal with. What typically I
9 think I would recommend is an average LOD over the study
10 and then just reporting values that are detectable and
11 meet all of your detection criteria below that, because I
12 think that those values, even though they're more
13 variable -- they have more variability associated with it,
14 are better than just imputing values.

15 I have a hard time with people that just want an
16 LOD, so they can say detect or no detect, because that's
17 setting an arbitrary limit of detection as being your
18 criteria for cutoff for a health endpoint or not having a
19 health endpoint.

20 So if they have to -- I don't know how to advise
21 you other than to maybe just give an average LOD over the
22 study, and then report everything that is detectable and
23 hopefully that will give you a high enough frequency of
24 detection to deal with. I know it's hard also when you're
25 trying to publish that and trying to describe that to the

1 editors or to the reviewers.

2 But the reality is an LOD is just not a static
3 number. And as much as you want to make it, or an
4 epidemiologist may want to make it, or a person
5 interpreting the numbers in some other way wants to make
6 it, it's not. And so you have to recognize that when
7 you're doing your analysis and recognize that there's that
8 variability involved with the analysis.

9 DR. SHE: And so my second question --

10 MS. HOOVER: Actually, why don't you follow up
11 after, you know.

12 DR. SHE: Sure.

13 MS. HOOVER: So we're going to close here, and
14 we're going to gather again in an hour. So shoot back to
15 be back at actual 1 o'clock. And then we'll start at 1
16 o'clock that that clock says, which is about 7 minutes
17 late. But try to be back in an hour. And thank you again
18 to the morning speakers.

19 (Applause.)

20

21

22

23

24

25

1 and the potential for using them to find relationships
2 with biomonitored levels, but also for providing some
3 context. These early effect markers or early indications
4 even from a screen, a genomic screen, for showing some
5 context around biomonitoring results. And we're going to
6 talk about this issue a little bit further this afternoon.

7 --o0o--

8 DR. ZEISE: Okay. So this next figure shows how
9 dose response relationships in an individual are dependent
10 on a number of factors. So if we think about the chemical
11 taken into the body, but there's a whole range of other
12 chemicals that are potentially affecting that disease
13 process. It could be both chemicals taken in from the
14 environment as well as endogenous chemicals. There's also
15 the genetics and the inherent biological susceptibility
16 factors in that individual.

17 And the chemicals, of course, can be both again
18 endogenous and exogenous. So that determines an
19 individual's dose response relationship. And then there's
20 variability from individual to individual. And that will
21 lead to the overall population dose response relationship.
22 How we think about individuals, how we think about
23 population level effects, and how we think about this
24 paradigm in considering context for biomonitoring results
25 is something we're going to go into in more detail. Some

1 of the methods we'll be talking about this afternoon take
2 into account these different features and different ways.

3 --o0o--

4 DR. ZEISE: So this final figure shows how the
5 cumulative effects of chemicals on a particular disease
6 process and set of outcomes. And what we are now finding
7 from some of the new science is that you don't have to
8 have a chemical affecting the disease pathway in exactly
9 the same way as other chemicals to still have a cumulative
10 effect on the overall outcome and the overall incidence of
11 disease.

12 So this again is something really critical as we
13 think about how to translate and interpret different
14 biomonitoring levels in the context of our standard
15 processes for looking at health levels of concern. So
16 that's another issue that we'll be going into in some
17 detail in this afternoon's talks.

18 --o0o--

19 DR. ZEISE: So we have 3 talks this afternoon.
20 And the first speaker will be Dr. Lesa Aylward. And she's
21 a principal at Summit Toxicology. Her expertise is in
22 chemical risk assessment and hazard communication. She
23 specializes in pharmacokinetic approaches to toxicology
24 and translation of reference doses, for example, into
25 biomonitoring levels.

1 The next speaker will be Dr. Dale Hattis from --
2 who is a research professor with the George Perkins Marsh
3 Institute at Clark University. And Dale has, for many
4 years, developed and applied methodology to assess health
5 risks. And he's done a lot of work on pharmacokinetic
6 modeling and so forth.

7 The final speaker is Dr. Amy Kyle. She's on
8 faculty with the School of Public Health at UC Berkeley in
9 Environmental Sciences. She's an investigator with the
10 Superfund Research Program, the Center for Environmental
11 Health Tracking, and the newly established Center on
12 Children's Cancer.

13 So her research is all about representation and
14 use of scientific knowledge and findings and policy
15 analysis and decision making.

16 So without further ado, I'll go into the first
17 talk.

18 Lesa.

19 I'm going to learn how to work this. Just hit
20 escape?

21 MS. HOOVER: Yeah.

22 (Thereupon an overhead presentation was
23 Presented as follows.)

24 DR. AYLWARD: Lauren, thank you very much for the
25 introduction and the excellent context that you provided

1 there. That saves some introductory discussion in the
2 presentation.

3 I really want to thank OEHHA staff for inviting
4 me to come and present at this workshop. I think it's, as
5 other speakers have indicated, that what you're doing here
6 is obviously in the forefront. The fact that you are
7 wrestling with interpretation puts you, I think, miles
8 ahead of some other places and programs that are not doing
9 that. And obviously, that means you're encountering the
10 hard questions first, and it's a big deal.

11 I have the privilege and, of course, the
12 disadvantage of following 3 really excellent speakers this
13 morning. And one of them in particular, Tina, promised
14 lots of things that I was going to talk about that I
15 didn't know I was going to talk about.

16 (Laughter.)

17 DR. AYLWARD: So I'm not sure I'm going to be
18 able to fill all those promises --

19 DR. BAHADORI: I said you're working on them. I
20 didn't say you're going to talk about it.

21 (Laughter.)

22 DR. AYLWARD: So forgive me if I don't get to all
23 of them. But perhaps some of the things we can talk about
24 during the discussion section.

25 --o0o--

1 DR. AYLWARD: What I am going to talk about this
2 morning are -- or this afternoon are some approaches for
3 interpreting biomonitoring data. And, of course, in the
4 context that we're talking about that here, I mean there
5 are different meanings for biomonitoring, but we're really
6 talking about measurements of chemical concentrations in
7 tissues or fluids in people.

8 I'm going to talk about the concept of
9 biomonitoring equivalents and some background about the
10 development of those, and use of those and give some
11 examples.

12 And I'm going to talk about some additional work
13 that we're moving towards in terms of additional
14 interpretation resources. These -- the context that we're
15 going to -- that we're working on right now is really in
16 the context of for physicians, but some of the information
17 and approaches will be perhaps more broadly applicable.

18 --o0o--

19 DR. AYLWARD: So when we think about audiences
20 for biomonitoring data and interpretation of biomonitoring
21 data, there really are a continuum of audiences for that.
22 There are people who are involved in chemical risk
23 assessment, risk management, environmental risk
24 assessment. And those people tend to be on a relatively
25 technical end of the scale.

1 But it really doesn't provide information on
2 potential health impacts. Either being in the reference
3 range, doesn't tell you that you are or are not having a
4 health impact. And being outside the reference range
5 doesn't tell you if you are or are not having a health
6 impact.

7 So it really doesn't satisfy the issue of trying
8 to identify levels -- people or the prevalence of people
9 above levels with known health concerns.

10 On the other end of the spectrum really are the
11 kind of the gold standard. The thing that you'd really
12 like to have are understandings of the exposure response
13 relationship and the terms of the biomonitoring
14 concentrations. You'd like to know what the health
15 impacts are, where they become to be of concern, what the
16 risk in the population might be because of those?

17 Obviously, that's a really resource intensive
18 undertaking. And at the moment, we have values like that,
19 that are available, but just for a very few chemicals.
20 And we heard talk this morning about -- or yesterday about
21 the CDC guideline for blood lead. And even that value is
22 something that people discuss where that number ought to
23 be. But it's the kind of example of that level. And as I
24 say, it's really available for very few chemicals.

25 Finally, what I want to talk about today really

1 is more something kind of intermediate. These are
2 benchmarks that we can derive based on risk assessment
3 methodologies, and some integration of additional data
4 that exists for many chemicals, but really hasn't
5 typically been included in our risk assessment paradigm,
6 which has been focused on external dose.

7 --o0o--

8 DR. AYLWARD: So in these categories, the
9 examples of available screening values, reference ranges.
10 Explicitly, the German Human Biomonitoring Council has
11 established reference ranges based on population
12 biomonitoring data in Germany. So they publish these
13 reference values on a periodic basis.

14 United States CDC, the NHANES Program, the report
15 can be used to identify the range of typical values in the
16 population in the U.S. It doesn't necessarily cover the
17 populations we might be interested in as, for instance,
18 very young children.

19 Human biomonitoring response base benchmarks.
20 Again, the German Human Biomonitoring Council has derived
21 values based on principally clinical and occupational
22 toxicology data for a few chemicals, cadmium. Mercury is
23 based on population -- on effects from children, on
24 maternal and infant effects. Thallium, pentachlorophenol.
25 Obviously, the blood lead guideline we discussed. And in

1 addition, there's occupational guidelines based on the
2 American Conference of Governmental and Industrial
3 Hygienists Biological Exposure Indices and the German
4 Biomonitoring Commission derives values like this as well.

5 These are obviously targeted at workplace
6 exposures, but they're all the same type of screening
7 values, and they are often based on human biomonitoring
8 response data. So they provide at least some sort of
9 context on those chemicals.

10 Finally, for risk assessment-based benchmarks,
11 the German Human Biomonitoring Council again has been
12 reacting and deriving these values. And the biomonitoring
13 equivalents that I'm going to talk about a little bit are
14 now available for approximately 80 chemicals. And I'll
15 talk a little bit more about that.

16 --o0o--

17 DR. AYLWARD: Before I get into that, I'd like to
18 talk a little bit about some of the things that you've
19 heard already from speakers today. And what Lauren just
20 talked a little bit about is, you know, evolving risk
21 assessment paradigm. The sort of classical chemical risk
22 assessment paradigm is really focused on a chemical by
23 chemical evaluation with evaluations in terms of external
24 dose response assessment, often based on laboratory
25 toxicology data, really focusing on observable adverse

1 effects and characterized, I think, by relatively high
2 uncertainty.

3 We're moving into a space in the risk assessment
4 world, where we're trying to focus more on aggregate and
5 cumulative risk assessments. Aggregate across pathways of
6 exposure. Cumulative in terms of the multiple chemicals
7 affecting a given system or outcome in toxicity.

8 We're looking at trying to use internal
9 dose-based exposure and response assessment. Increasing
10 focus on subtle biological alterations, rather than gross
11 adverse effects. And finally, population risk evaluations
12 as opposed to bright line evaluations of safe or unsafe
13 exposures.

14 And then, as Tina described this morning, sort of
15 the vision of where we want to go are really integrated
16 assessments of exposures and factors that affect health
17 and disease outcomes across all life stages, integration
18 of the high technology omics -- the various omics
19 technologies, high throughput screening data, and
20 information about individual genetic susceptibilities to
21 really provide a much more holistic evaluation, including
22 assessment of things like social and community factors
23 that can influence disease development and outcome.

24 Obviously, as we move down this progression,
25 we're talking about increasing sophistication, but also

1 --o0o--

2 DR. AYLWARD: So in the existing chemical risk
3 assessment paradigm, and this would be the old school risk
4 assessment paradigm, we're typically working from
5 toxicology data, an external does that we can't -- we
6 don't see any observed adverse effects. Call it a point
7 of departure. And we apply a series of uncertainty
8 factors ranging from 100 to 1,000, sometimes more,
9 sometimes less, to try to derive a quote unquote safe or
10 tolerable human exposure level that's expected to be
11 without risk -- an appreciable risk of adverse effects.

12 So there's this old school reference dose
13 definition and derivation from UPA. There are parallel
14 sorts of values that derived by other international
15 organizations, tolerable daily intakes, or the ATSDR
16 minimal risk levels. They all have functionally very
17 similar definitions.

18 And while they are, I think, crude, they do
19 provide some sense in the order of magnitude sort of way
20 of relative potency of chemicals at least based on the
21 assessments and the data available at the time that they
22 were set.

23 --o0o--

24 DR. AYLWARD: So a biomonitoring equivalent is
25 nothing more or less than an estimation of a steady state

1 concentration of a biomarker that's consistent with those
2 existing exposure guidance values, like reference doses or
3 tolerable daily intakes.

4 We have available data on pharmacokinetics in
5 humans and animals, also on measured tissue and blood
6 concentrations in animal experiments. We have a variety
7 of data that can be used to make these translations. But
8 fundamentally what they are are translations between a
9 given exposure guidance value. And in this case, I'm
10 illustrating with a reference dose to a biomarker
11 concentration that's broadly consistent in a chronic
12 steady state basis with that reference dose or other
13 exposure guidance value.

14 --o0o--

15 DR. AYLRWARD: And the goal of the BE approach is
16 simply to provide a bridge and to leverage the existing
17 data sets and risk assessments in a way that they can be
18 used as one tool in the evaluation of biomonitoring data.
19 Really to provide a translational approach between
20 external and internal dose-based risk assessments.

21 And the ultimate goal really is to enable the
22 biomonitoring data to be used as an input into risk
23 assessment or risk management evaluations, and perhaps as
24 a tool for prioritization amongst the multiple chemicals
25 and issues that people, who are in a regulatory risk

1 management, risk assessment environment face. One tool to
2 be used in the context with any number of other tools that
3 they have available.

4 --o0o--

5 DR. AYLWARD: And I'll just say that although
6 most of my discussion and examples here are really focused
7 towards things like reference doses and tolerable daily
8 intakes, that the BE approach really fundamentally is
9 about the pharmacokinetics and about internal to external
10 dose translation. And it can be used with distributional
11 risk metrics. We have examples, numbers of examples,
12 where we've applied it to cancer risk specific doses and
13 evaluation, as well as non-cancer risk evaluations. So it
14 is applicable even into the newer paradigms of risk
15 assessment.

16 --o0o--

17 DR. AYLWARD: I'm dry.

18 In any undertaking like this, where you're using
19 technical data, risk assessment, risk evaluation
20 pharmacokinetic information, there are a hundred
21 considerations, context, caveats, limitations that really
22 have to be considered.

23 I'll just reiterate. We really intend and think
24 that these BE values are screening tools for use in a
25 screening level risk assessment context, not bright line

1 separating safe from unsafe levels. They're derived from
2 a variety of data using a variety of approaches. And
3 they're not any more reliable than the underlying risk
4 assessments or the data that are used to derive them. And
5 in the peer reviewed populations that come along with
6 these derivations, we try very hard to be explicit about
7 the uncertainties and the limitations and the caveats that
8 go with each chemical's values.

9 --o0o--

10 DR. AYLWARD: They're most appropriately applied
11 to population data rather than to the assessment of data
12 for an individual. They're most effective in a
13 prioritization context, where you're looking across
14 chemicals, along with complementary information and
15 assessments. So they're not -- looking at an individual
16 chemical in isolation provides you a little bit of
17 information, provides you much more when you're looking
18 across chemicals. And I'll give some examples.

19 Biologically transient compounds really present
20 special challenges and we'll talk about that. And there
21 are a lot of additional considerations that are present,
22 both in the general guidelines documents and in the
23 chemical-specific papers.

24 --o0o--

25 DR. AYLWARD: So I promised to talk about

1 transient biomarkers just briefly. I don't know how well
2 you can see on this screen. This is a really nice set of
3 examples from a recent paper from CDC researchers. These
4 are -- this is a major DEHP metabolite, phthalate
5 metabolite.

6 These are 3 individuals. Data for 3 individuals.
7 It's the concentration of this metabolite in urinary
8 samples -- every urinary sample collected over the course
9 of a week. There are actually 8 individuals in this
10 study, but I'm just showing 3 here for convenience. And
11 what you can see, as Dr. Bradman mentioned earlier, that
12 you can see that even within a day you see dramatic
13 changes in the urinary concentration within the
14 individuals. We see dramatic differences across days of
15 the week. And we have dramatic -- I don't know if you can
16 see the scales, but really dramatic inter-individuals
17 differences in the actual levels of the metabolites.

18 And taken together, you know, what these data, I
19 think, tell you is that if you're working in a program
20 where you're taking a single spot sample of a biologically
21 transient compound, you're going to be capturing something
22 that will tell you very little, maybe even about exposures
23 earlier or later that same dame, much less across days or
24 of weeks or months or a life stage or a lifetime.

25 So I think that this issue of transient

1 compounds.

2 --o0o--

3 DR. AYLRWARD: So back to the BE values for a few
4 minutes here. Just a little bit of history. We started
5 this project in 2007. We had funding from a really broad
6 range of stakeholders, Health Canada, the United States
7 Environmental Protection Agency provided funding, the
8 American Chemistry Council and some other trade
9 associations. We had people from CDC and ATSDR, and
10 Health Canada and IUPAC on our steering committee and
11 expert committee.

12 We brought together people from academia, from
13 government, industry, from NGOs, experts in risk
14 assessment, pharmacokinetics, communication and medical
15 ethics to provide us guidance on the BE concept, on the
16 methods for derivation, the communication aspects of this.
17 The results from the pilot project are available in a
18 special issue of Reg Tox and Pharm.

19 I'll draw your attention, particularly to the
20 guidelines for communication. I think there's some
21 interesting information in there relative to physicians.
22 We also have some case studies in this issue. And since
23 the workshop in 2007, we've continued work. We've had a
24 3-year agreement with Health Canada. They've funded
25 development of quite a few more BE values, as well as

1 other funding sources for that, for BE derivations.

2 --o0o--

3 DR. AYLWARD: And at this point in time, we
4 derived BE values for 80 chemicals roughly. So we're
5 starting -- these are chemicals that are included in
6 various biomonitoring programs. So we've started to
7 approach a point where we can start now to look across
8 chemicals make some evaluations in the context of the
9 existing risk assessments.

10 --o0o--

11 DR. AYLWARD: So I'm going to go through just
12 real quickly just a few examples of the use of the BE
13 values.

14 --o0o--

15 DR. AYLWARD: 2,4-D is an herbicide that has
16 recent U.S. -- very recent U.S. EPA, Office of Pesticide
17 Programs risk assessments. The reference dose is derived
18 from rat data, from a No Observed Effect Level for
19 multiple endpoints, a 1,000-fold uncertainty factor was
20 applied.

21 The biomonitoring data for the general population
22 tends to range from less than 1 microgram per liter in
23 urine to about 3. And the question is do these levels
24 indicate exposures that are of concern or interest in the
25 context of our risk assessment?

1 --o0o--

2 DR. AYLWARD: This figure is from a paper that
3 Dr. Barr and myself and scientists from EPA and Health
4 Canada published earlier this last year -- or last year,
5 not earlier this year -- where we conducted a review of
6 all the biomonitoring data we could find for 2,4-D, that
7 include occupational and general population. This figure
8 is general population data. It includes both data from
9 the NHANES program, upper 95th percentile, because there
10 were quite a few non-detects in the NHANES, and data from
11 a research effort by Marsha Morgan and colleagues for
12 children and adults in North Carolina and Ohio.

13 So again this is that range I was talking about
14 from less than 1 to roughly 3 or 4 micrograms per liter in
15 urine. This is the BE value for 2,4-D of 200 micrograms
16 per liter. So now when you look at this -- at these data
17 in this context of the screening value, it gives you
18 information and allowed this conclusion to be drawn, that
19 the current use patterns were likely keeping average
20 exposures to levels well below the current non-cancer
21 guidance value.

22 So, you know, obviously the reference dose
23 have -- you may have concerns about what that value is,
24 but at least now you have a quantitative basis for looking
25 at the relative exposure levels as reflected in the

1 biomonitoring data compared to that screening value. And
2 you can make some additional evaluations based on that.

3 --o0o--

4 DR. AYLWARD: You can also use BEs in the risk
5 assessment paradigm that we often use in terms of hazard
6 quotients where we compare an estimated dose to a
7 reference dose. Hazard quotients -- you want the hazard
8 quotient to be less than 1. You might want it to be a lot
9 less than 1. You can compare measured biomarker
10 concentrations to be E values, and use the same kind of
11 assessment.

12 And so now we can start to compare cross
13 chemicals of the relative levels of exposure compared to
14 their screening values.

15 --o0o--

16 DR. AYLWARD: So, for instance, in NHANES there
17 are over 300 chemicals now that are being biomonitored.
18 And you might want to ask as a risk manager which of these
19 chemicals should I be looking at first? Which ones might
20 be of the greatest interest?

21 Absolute concentrations tell you one story. They
22 vary by more than a factor of 1,000 across this subset of
23 analytes.

24 --o0o--

25 DR. AYLWARD: But when we look at hazard

1 quotients, we get quite a different picture. And that
2 might, along with other information, help us in
3 prioritization efforts for either funding research, going
4 out and doing exposure investigations, thinking about
5 exposure interdictions, those kinds of things, based on
6 the biomonitoring data, as well as with other data that
7 you would have available.

8 --o0o--

9 DR. AYLWARD: I mentioned cumulative exposures
10 before. This is an example 4 trihalomethane compounds.
11 These are drinking water disinfection byproducts. They
12 share common toxicity endpoints in laboratory testing,
13 liver toxicity, fatty liver.

14 And these are the distributions of the hazard
15 quotients calculated from the NHANES data set from '03-'04
16 for each of the 4 trihalomethane compounds. And this is
17 the cumulative THM hazard index. So on an individual by
18 individual basis, summing the chemical's specific hazard
19 quotients to estimate a hazard index for the
20 trihalomethane exposures.

21 So now this information can be put into the
22 context of other information about drinking water
23 disinfection byproduct benefits, risks, and considerations
24 of alternatives to feed into a regulatory or risk
25 management decision.

1 --o0o--

2 DR. AYLWARD: Here's an example of the use of BEs
3 in a cancer risk based assessment. So here are the
4 distribution of NHANES values. And these are stratified
5 by presence or absence of organic arsenicals, and
6 different treatments of the limits of detection --
7 measures below the limits of detection.

8 But basically, what you can see is that the
9 biomonitoring data suggests that we have a significant
10 prevalence of biomarker concentrations in the range of a 1
11 in 1,000 cancer risk based on -- this is based on the U.S.
12 EPA Office of Water Assessment from 2001.

13 And so if you think about the new risk assessment
14 that EPA is proposing, you would bump these all up by
15 about a factor of 7. But nonetheless, it gives you an
16 idea of how to use the BE values and distributional
17 metrics and provide some estimates. And there's some
18 caveats that go along with us.

19 Urinary inorganic arsenic species are quite
20 transient, and so you end up with variations within
21 individuals. However, this assessment is pretty
22 consistent with external dose-based assessments that we
23 have from other sources.

24 --o0o--

25 DR. AYLWARD: So next steps. I'm running out of

1 time, so I'm going to go quickly. We really think that
2 the BEs provide a tool that's useful in the risk
3 assessment context, risk assessment, risk managers and
4 potentially public health officials. But we really -- we
5 recognize that a more complete picture is needed for
6 communication with physicians and individuals, that this
7 is by no means an individual friendly sort of tool. We're
8 interested -- obviously, this has been covered before.

9 --o0o--

10 DR. AYLWARD: People are interested in their
11 levels. They want to know how to reduce their exposures,
12 where exposures come from, what health effects might
13 cause, what they should do about it.

14 And physicians are getting the same questions,
15 but they really don't have reliable resources for this.
16 They generally aren't highly trained in environmental
17 health and risk assessment principles. And the available
18 data that's out there, if they take the time to go look
19 for it, really isn't necessarily appropriate, in depth,
20 focus, detail or coverage for what they need, in terms
21 talking to patients.

22 --o0o--

23 DR. AYLWARD: So we're interested in working on
24 developing a website. We want to include a whole range of
25 biomarker based information, including things like

1 reference ranges, BE values, clinical and occupational
2 toxicology, epidemiologic information. We want to do it
3 in a reliable reviewed way that's easily accessible. You
4 know, these are sort of huge challenges, but I think
5 there's really a gap out there and a need for this sort of
6 information, including, you know, information where we
7 don't have information, you know, that providing that
8 piece of information.

9 --o0o--

10 DR. AYLWARD: So we're working on planning
11 another workshop for this summer. We're looking to bring
12 together experts in a wide range of fields relevant to
13 this. We're working with John Adgate at the University of
14 Colorado. We've got some seed funding from the American
15 Chemistry Council, but we're really looking towards
16 improving the vision of what these types of information
17 and website might look like, what kind of process we
18 should use for bringing that information together, and
19 identifying potential sponsoring agencies and
20 organizations.

21 --o0o--

22 DR. AYLWARD: So in conclusion, biomonitoring
23 really has become a centerpiece of chemical exposure
24 assessment. We have -- we think that the BEs provide a
25 practical tool that really can increase the value of the

1 chemical biomarker data, both in terms of prioritization
2 of risk assessment and risk management efforts and to
3 inform resource allocations for the next generation
4 research that Tina has kind of visualized for us. And
5 obviously, we think that additional work remains for
6 developing and providing information for individuals and
7 physicians. So with that, thank you.

8 (Applause.)

9 DR. ZEISE: Thanks for a great talk. We now have
10 time for questions.

11 George.

12 OEHHA ACTING DIRECTOR ALEXEEFF: Thank you very
13 much for that great talk. I just had -- well, first a
14 quick question and then a statement. So were the original
15 starting values, were they RFDs, U.S. EPA RFDs or Health
16 Canada RFDs?

17 DR. AYLWARD: Yes. In each of our documents,
18 what we've tried to do is bring together as many national
19 and international values as we could lay our hands on. We
20 did not go to State level values. I recognize that the
21 State of California has values.

22 In many cases, they can be kind of linearly
23 translated from the other values that have been used. But
24 we kept summary tables of each document that detailed the
25 underlying basis, when those reference doses were derived,

1 what the data are, et cetera, so that the shortcomings or
2 strengths of those assessments are somewhat transparent.

3 OEHHA ACTING DIRECTOR ALEXEEFF: Because it
4 reminds me a lot, in the beginning of our addressing air
5 toxics. And when there weren't any air toxic values,
6 other than a few cancer values out there, and we
7 started -- well, one of the first starts was looking at
8 ACGIH values, quickly translating them into public values,
9 and then starting with those. And then, of course, more
10 data came and that kind of stuff. And then you had, you
11 know, better studies and things like that.

12 And the purpose of that then was to see if there
13 was a level which exceeded the calculated value, and then
14 to look at the sources, apportion the sources, and then
15 depending upon the regulatory capability, to begin to
16 reduce those sources.

17 So to me I see that could work in this way. I
18 mean, I'm not talking about the individual. I'm talking
19 more about the societal use, where basically one finds
20 these levels. If they exceed that, then let's identify
21 the sources, if one can. So they could be consumer
22 products or it could be air pollution, or it could be
23 something water, maybe. I don't know. Well, arsenic is
24 obviously water -- or could be water.

25 And then you could go try to address the sources.

1 So that's, to me, sounds like a very useful, useful
2 product that you --

3 DR. AYLRWARD: Yeah. I didn't emphasize it, but,
4 you know, in our recommendations from our exposure -- our
5 expert panel, they really encouraged us -- you know, when
6 we said well what happens -- what does it mean if you
7 exceed this level, you know, if an individual or a part of
8 your population?

9 Well, you know, these are risk assessment values.
10 We don't really know what that means, because our risk
11 assessments aren't very good, but -- so they encouraged us
12 to place it in terms of risk assessment follow-up.

13 So in other words, if you've got a high hazard
14 quotient or something like that, you might want to go back
15 and look and say, ah, you know, is this a reliable risk
16 assessment or is it 30 years old and based on crappy data,
17 and do we know a lot more now? Or, you know, what are the
18 things that go into that risk assessment? And then are
19 there things that -- you know, source apportionment. Do
20 we need to do studies to figure out where the sources are
21 coming from? And then ultimately risk management efforts.

22 But, yes, that's absolutely the intention is that
23 you need to look more closely. And unfortunately, the
24 bias might be in the other direction. You have a low
25 hazard quotient based on a crappy risk assessment, and you

1 might be much more interested in that.

2 And that's, you know, as with any risk assessment
3 undertaking, being aware of the strengths and limitations
4 of your underlying values is really important.

5 DR. ZEISE: Gina, the last question.

6 DR. SOLOMON: Only 1. Oh, shoot, I have 3.

7 This is Gina Solomon. A very thought-provoking
8 talk. Well, of the 3, I guess I'd just like you to talk a
9 little bit more about the sort of individual versus
10 broader population utility of this approach. Because at
11 the end you seemed to be implying that this could be
12 useful for physicians to inform patients.

13 And then my other question is sort of about the
14 pharmacokinetic models and so forth that you use to
15 underlie all of these extrapolations.

16 DR. AYLWARD: Okay. So the first question is,
17 again, we typically emphasize this as a population tool
18 rather than an individual tool. But I was talking about
19 with respect to the physician website stuff is trying to
20 provide that context as well as other contexts that might
21 be available for measured biomarker concentrations for a
22 physician to use when he talks to an -- he or she talks to
23 an individual about their results, if they're put in that
24 position.

25 Recognizing that it doesn't really provide the

1 type of satisfying information you'd like to have.

2 Remind me, the second question, I'm sorry.

3 DR. SOLOMON: Pharmacokinetics.

4 DR. AYLWARD: Yeah, pharmacokinetics. So
5 obviously I spent too much time just kind of giving you
6 the very high level here. There actually are quite a
7 number of detailed approaches that can be used in this.
8 And they're all detailed in the peer reviewed publication
9 for each individual chemical.

10 They fall into several categories. We're going
11 to be doing a review article this year with the German
12 Human Biomonitoring Commission members, really talking
13 more about what those approaches are, but they are
14 detailed in our guidelines for derivation and in each
15 individual thing. And they're not all full PBPK models.
16 It's really not necessary in many cases. And we can talk
17 about that off line.

18 DR. ZEISE: Thank you.

19 Okay. Our next speaker is Dale Hattis.

20 (Thereupon an overhead presentation was
21 Presented as follows.)

22 DR. HATTIS: Well, Lesa, has been properly at
23 pains to emphasize the use of the BE values as a tool to
24 translate the underlying risk assessment summary values
25 that are available in the literature.

1 And I've been one of the promoters of an
2 insurgency against those original values. So I wanted
3 to -- I said, you know, stress, you know, that there's
4 some reasons for dissatisfaction. As I think she's hinted
5 at as well with the underlying basis of those. But as
6 George, I think, properly suggested, you know, it's a
7 starting point, okay. And there's a couple of catch
8 phrases for innovators in intellectual affairs, as well as
9 the marketplace. And one of the catch phrases is, "If
10 it's worth doing, it's worth overdoing". And the other
11 is, "if it's worth doing, it's worth doing badly".

12 (Laughter.)

13 DR. HATTIS: So I think -- but at some point, you
14 want to do it a little better some of the times where you
15 think it might matter to a particular choice.

16 So I'm going to be emphasizing a couple of
17 problems. First that the risk at the RfD and RfC is --
18 and therefore at the biological equivalent values is
19 undefined under the usual context of non-cancer
20 assessment.

21 The second is that biomonitoring is focused
22 exclusively on environmental chemicals will often miss
23 opportunities to discover relationships to early effect
24 biomarkers of public health importance. So I'm going to
25 be suggesting that, in fact, there is an important

1 opportunity to use -- to leverage the wonderful efforts
2 that you folks are undertaking to, in fact, provide the
3 opportunity to detect things you didn't expect about
4 relationships to ongoing pathological processes that are
5 important in determining the public health of the real
6 human population, and basically that you can -- you don't
7 have to be a prisoner to the animal toxicology, which is,
8 to some extent, sketchy at best.

9 You can, in fact, give yourself a chance to
10 uncover relationships, at least in a preliminary way, by
11 using these early effect biomarkers that have been -- and
12 I'll talk to you a bit about the opportunities to do that
13 in a number of different biological realms.

14 And also in that context to address possible
15 program modifications that could help accomplish that, if
16 you, in fact, ever get the resources to do that.

17 --o0o--

18 DR. HATTIS: Then this is just a reminder, some
19 of which Lesa has already gone over, but making the
20 observation that the original 100-fold factor between the
21 No Effect Level and the permitted level layer decomposed
22 into 10-fold for human inter-individual variability and
23 10-fold for interspecies difference.

24 That judgment made in a paper published in 1954
25 was, what we know in the technical term for it, as a SWAG,

1 a Scientific Wild Ass Guess.

2 (Laughter.)

3 DR. HATTIS: And since then, additional factors
4 before been accreted to compensate for the deficiencies in
5 the database. So if you have a LOEL, a Low Effect Level,
6 rather than a No Effect Level, you add a factor. If you
7 have some -- you don't have a full chronic study yet
8 another factor.

9 The empirical -- the original empirical basis for
10 these factors, if they ever existed, is lost in the mists
11 of time.

12 --o0o--

13 DR. HATTIS: So basically the theme is that the
14 system for defining RfD and RfCs can be improved with our
15 21st century information and technology.

16 And just to begin with, it's just hopeless to try
17 to represent the compounding effects of different sources
18 of uncertainty with single factors. And it's even more
19 suspicious that they all happen to be either 10 or the
20 square root of 10. I mean, you know, you would have
21 expected that if it was based on something real, that some
22 of the time you would get something different than the
23 number of our fingers.

24 (Laughter.)

25 DR. HATTIS: Using empirically based

1 distributions allows some, you know, restatement of the
2 RfD goals as the Silver Book that Lauren recommended.
3 Lauren was on the committee that created this new Silver
4 Book, which I think is very welcome. Although, it
5 hasn't -- it's been, well, honored more in the breach than
6 in the observance as of yet.

7 (Laughter.)

8 DR. HATTIS: Basically, you know, what we want to
9 try to do if you want to have some consistency in risk
10 management goals is to, in fact, try to define a
11 risk-specific dose. What is the dose of this chemical
12 given my best information that I have, that is likely to
13 achieve, you know, a particular incidence of a particular
14 effect or less, with a particular defined degree of
15 confidence, with a reasonably standardized way of
16 evaluating the uncertainties.

17 And so this is attainable. This could be done.
18 It's not rocket science, but it's not easy either. So,
19 you know, it's something that requires real effort, but it
20 can be done.

21 --o0o--

22 DR. HATTIS: The current system is based on a
23 universal assumption of population thresholds for
24 non-cancer effects. It's likely to be wrong, both because
25 of accretial human variability, and in susceptibility and

1 interactions with background pathological processes that
2 are going on in -- that affect the health of the real
3 human population.

4 --o0o--

5 DR. HATTIS: By failing to provide -- and also by
6 failing to provide a basis for deriving some, albeit,
7 highly uncertain finite estimates of risk, the current
8 system doesn't allow development of inputs needed for
9 comparison of potential impacts of different policy
10 options.

11 George has us -- I really encourage people to do
12 breast feeding or not. Can I encourage use of fish in
13 this lake versus ocean fish? You know, what are, in fact,
14 the trade-offs for real decisions? I can't evaluate that
15 unless I can quantify the risks and the associated
16 uncertainties for multiple sources -- multiple types of
17 concerns.

18 After considering the fundamental difficulties in
19 RfDs, the difficulties posed by the translation that was
20 outlined by Lesa are relatively minor. There are still
21 problems relating to whether you got the right dosimeter
22 essentially for quantifying the effects. But they're
23 relatively minor compared to all this stuff.

24 So the from Aylward of biomonitoring is defined
25 as a concentration range. I won't go in this -- the tail.

1 DR. HATTIS: The difficulties are that the
2 chronic long-term average bioequivalent exposure factor
3 is -- you know, is complicated by, to some extent, acute
4 measurement uncertainty versus uncertainty in the causally
5 relevant dose metric. The latter may be particularly
6 important for time-sensitive types exposures. And I'm
7 going to illustrate that later with maybe a pathological
8 example that is the causation of a teratogenic anomaly
9 exencephaly by valproic acid.

10 Limitations in toxicological testing for the most
11 substances included in biological -- biomonitoring
12 studies. Even when testing is available, there is, you
13 know, often a limitation to a narrow range of ages. For
14 example, cancer bioassays are often started at 6 weeks of
15 age, so you miss the putatively susceptible period for
16 genetically acting toxicants.

17 And there's, of course, effects of measurement
18 uncertainty spreading observations from the true
19 variability distribution. So basically if I have a real
20 variability among people, and I add some measurement
21 there, that's going to spread the distribution apart from
22 where it really is.

23 So standard statistical measures of variability,
24 like standard deviations, tend to overestimate real
25 variability. Standard statistical summaries of

1 uncertainty nearly always tend to understate real
2 uncertainty. And so there's this -- there are these
3 complications that the risk assessors often know about
4 that you were never taught in your biostatistics class.

5 And, you know, partly for this reason, you know,
6 the recommendation is to not try to use the -- to
7 interpret Biological Equivalents in terms of risks. And I
8 think that's a shame, because I think that's some of the
9 information that -- you know, it's difficult to interpret
10 them, but I think you need to make the effort, at some
11 point, taking into account for specific risks and specific
12 modes of action what the dynamics and uncertainties in
13 measurement and causation are likely to be.

14 --o0o--

15 DR. HATTIS: So here's my pathological example.
16 These are animal data on basically a time dependence of
17 different developmental responses. And the day of
18 gestation is shown on the bottom axis. And you can see
19 that the effects of -- you know, vary enormously depending
20 upon exactly when you give the valproic acid -- this is an
21 anti-epileptic agent -- during gestation, so with the
22 teratogenic anomaly exencephaly being much more sensitive
23 to the exact timing than some of the other measures like
24 field growth retardation.

25 --o0o--

1 DR. HATTIS: This is a curve -- this is
2 essentially -- basically taking -- this is the results of
3 experiments in which the valproic acid was administered in
4 different dosing schemes. These are the pharmacokinetic
5 expectation for a continuous dosing scheme, which is the
6 red line. And if you look at that, a 10 percent effect
7 was produced by about 6,000 AUC units. AUC units are the
8 products of concentration and time.

9 So in comparison to that, if you give it in 4
10 equally spaced doses, represented by the blue curves, you
11 get a much better efficiency in terms of production of the
12 exencephaly per unit of the internal dose. And that's,
13 you know, something like 1,300 is the answer -- is the
14 number that you can't read there on that curve.

15 And if you give it in terms of a single dose
16 that's well timed at the -- at apparently the right time
17 during that, then you can produce the same 10 percent
18 incidence with about a 650 AUC units.

19 So it matters a lot -- you know, even -- you
20 know, there's a -- the details of exactly, you know, what
21 the right dose metric is can matter -- can give you an
22 order of magnitude difference in efficiency, depending
23 upon your even your internal dose metric that you choose
24 to use.

25 --o0o--

1 DR. HATTIS: So in conclusion, quantitative
2 dynamic theories of toxicants actions are needed for
3 meaningful -- or for the best, anyhow, risk evaluation and
4 quantification. And these theories are not going to be
5 uniform across different modes of actions for different
6 toxicants.

7 Significant effort is going to be needed to
8 develop appropriate preliminary risk-related
9 interpretations of biomonitoring data. Particularly when
10 you have these time-sensitive actions.

11 So creative development and testing of risk
12 related hypotheses from the data will generally be needed
13 in order to make good inferences about the sources of the
14 current exposures, and the potential benefits of different
15 options for intervention.

16 --o0o--

17 DR. HATTIS: Current official California
18 biomonitoring goals are, as you see here, determine the
19 baseline levels, establish time trends in the chemicals,
20 and assess the effectiveness of current regulatory
21 programs. But there are 2 possible interpretations of
22 that latter goal.

23 First, effectiveness in presenting exposures over
24 the current regulatory guidelines. But the second is the
25 effectiveness of the guidelines themselves, and best

1 protecting or promoting public health. And I want to
2 basically suggest that you can shade your interpretation
3 toward the latter one, if you want to be creative and
4 perhaps best serve the people of California.

5 --o0o--

6 DR. HATTIS: Some useful candidate biomarkers
7 that might be usefully evaluated in relation to
8 biomonitoring exposures include birth weights as a very
9 important initial thing that's -- basically, you don't
10 have to have any cost in measuring this, but it does take
11 a little money to actually go and retrieve birth weights
12 in -- for the women and the pregnant women that happen to
13 be in your study.

14 But in addition to that, there's gestational age
15 is a good outcome, thyroid hormone levels, and viable
16 sperm counts as other kind of measurements that -- and all
17 of these share the property that they're continuous
18 parameters. They're not, you know, plus-minus variables,
19 but they can be used to predict -- because of their strong
20 epidemiological data that's external to the biomonitoring
21 study, they can be used to make predictions of the effect
22 of changes in these continuous parameters on the rare
23 quantal outcomes of concern, whether or not you get
24 pregnant this month, whether or not you die in the first
25 year of life, that sort of thing that you care about.

1 In the cardiovascular area, there's a whole set
2 of inflammatory indicators of atherosclerosis. There's
3 also, of course, traditional risk factors, blood
4 pressures. And I'm going to show you some recent findings
5 on blood pressures in relation to PCBs.

6 There's, of course, heart rate variability. It's
7 a wonderful indicator of status and indicator of
8 short-term stress produced by particles, which I think is
9 a very important kind of a biomarker. And measures of
10 acute damage, the heart specific creatinine kinase that
11 can be used in relation to say carbon monoxide exposures
12 measurable in the blood.

13 In respiratory issues, you have traditional lung
14 function parameters -- the accumulation of damage that you
15 can measure as FEV1 and FVC. Indicators of pro -- but
16 there's another major kind of indicator, which is
17 indicator of today's progression of something like
18 emphysema, when you find in the urine the products of the
19 destruction of these lung proteins like elastin and the
20 hydroxyproline, which comes from collagen degradation.

21 For cancer, there's a lot of potential for the
22 use of indicators of somatic mutation. These are likely
23 more difficult and expensive to measure, but they have
24 potential.

25 For renal disease, there -- kidney disease,

1 Beta-2 microglobulin is a standard that's been useful in
2 quantifying effects of cadmium.

3 For neurological, there's a whole big field that
4 is emerging from new brain imaging techniques. Hearing
5 levels after controlling for noise exposure, and measures
6 analogous to the heart-specific creatinine kinase or
7 the -- basically, you'd want to know about today's loss of
8 particular kinds of a neurons if you can find ways of
9 measuring that.

10 --o0o--

11 DR. HATTIS: So the basic idea is that you have
12 some exposure, you have some change in the biomarker of
13 early effects, continuous parameter, the statistics
14 follow. And you use that change to help you predict
15 consequences for the rare quantal effects that you
16 have -- that are more difficult to measure.

17 --o0o--

18 DR. HATTIS: So this is essentially a graph of
19 the relationship between birth weights and infant
20 mortality. And you see it matters a great deal. And it's
21 a crime to summarize birth weight data in terms of above
22 versus below this artificial cut off at 2,500 grams that
23 define -- that the physicians you have developed to define
24 low birth weight. It matters to make a small change one
25 way or another to your odds of dying in the first year of

1 life. And, you know, and -- the dichotomization of
2 perfectly good continuous data is a mental disease.

3 (Laughter.)

4 DR. HATTIS: And it's spread from the physicians,
5 I think, to -- maybe I'm being unfair to the physicians.

6 (Laughter.)

7 DR. HATTIS: But to -- anyway, it needs to be
8 combated, you know.

9 (Laughter.)

10 --o0o--

11 DR. HATTIS: This is the relationship of reported
12 direct cigarette smoking and birth weights. That's the
13 open squares on the one graph, and infant mortality on the
14 other. So you can see both are essentially saturated type
15 dose response functions. They're well described with
16 Michaelis-Menten type functions. And it looks like
17 there's a good chance that one is predictive of the other.

18 --o0o--

19 DR. HATTIS: This is an indicator essentially of
20 birth weights in relation to the incidence many decades
21 later of Type II diabetes.

22 So the idea is that the developing fetus is not
23 a, sort of, perfectly balanced system with -- you know,
24 with lots of reserve capacity to handle different insults.
25 Essentially what's going on -- what appears like to be

1 going on is that the developing fetus is making trade-offs
2 in the use of its resources to either make wetwear that's
3 going to be useful many years later, you know, as -- the
4 depredations of age deplete the pancreatic beta cells or
5 not. And that this is -- you know, we need to view that
6 system as subject to not -- you know, not a robust
7 relative to, you know, minor perturbations, but is
8 something that is making the trade-offs it can, making the
9 best use of its resources as it can in the context of
10 different challenges.

11 --o0o--

12 DR. HATTIS: This is new findings, March
13 Environmental Health Perspectives of logs of blood
14 pressures on the Y axis versus PCB levels measured in
15 serum in a recent study. I think that's very --
16 potentially very important and very interesting. And this
17 is the kind of thing that you could hope to discover, if,
18 in fact, you make measurements of early -- analyze
19 measurements of early biological effect in relation to
20 your biomonitoring levels.

21 --o0o--

22 DR. HATTIS: So exposure -- so okay. I'm not
23 going to go through our recent experience with
24 chlorpyrifos, because I'm running out of time.

25 --o0o--

1 DR. HATTIS: But suffice it to say, that it's
2 complicated to interpret some of it. Even the best blood
3 lead data, when you have rapidly changing exposures, as
4 you do, you know, when the woman goes to -- from her
5 normal environment to the hospital to have a baby.

6 --o0o--

7 DR. HATTIS: Okay, so take home lessons.
8 Biomonitoring measurements have considerable potential to
9 lead to new epidemiological toxicological understanding.
10 They can also be misleading. I mean, there's an old
11 saying on Wall Street that the market has predicted 9 of
12 the last 5 recessions.

13 (Laughter.)

14 DR. HATTIS: So cross-sectional epidemiology has
15 the potential to give you things that are not always
16 right, okay.

17 Obtaining collateral data on early effect
18 biomarkers proximate to the time of biomarker measurements
19 helps you get the most of your data.

20 Creative mechanism-based modeling is important
21 for interpretation.

22 And, of course, time and budget constraints are
23 likely to make this even more challenging than it might
24 otherwise be.

25 (Applause.)

1 DR. HATTIS: Thank you.

2 DR. ZEISE: Thank you, Dale. We have five
3 minutes for questions.

4 Amy.

5 MS. DUNN: No. No. People raise their hands.

6 DR. ZEISE: Pardon?

7 DR. BRADMAN: I think the main thing I want to
8 the say, it will be -- when we have the panel
9 discussion -- when we have the panel discussion, I have
10 lots to talk about.

11 (Laughter.)

12 DR. BRADMAN: I guess I'll ask the question
13 though, and this will be for the panel to. As a member of
14 the Scientific Guidance Panel, I'm becoming more and more
15 concerned about how or if, at all, we should be providing
16 some health interpretation to the biomonitoring
17 measurements. It seemed to me the Biomonitoring
18 Equivalents offers the best -- you know, offered the best
19 hope.

20 But as I look at all the options, I'm beginning
21 to feel that except for compounds like lead or others that
22 are, you know, FDA regulated, have some diagnostic
23 response, that really the best we can do is provide an
24 exposure reference range. And if that's understood in the
25 consent process, you know, I think that's okay. But I

1 think maybe that's a discussion both within the program
2 and within -- to have between the speakers today. Maybe
3 after the next presentation, we can do that with the
4 Panel.

5 But it seems to me there's a lot of interesting
6 science here, but I'm not seeing how we can translate that
7 into responding to individual's question of, is it safe?

8 DR. HATTIS: Well, it takes a lot of work. And I
9 don't say you should not use your Biological Equivalents.
10 I mean, I think that they offer a preliminary benchmark.
11 And as we said, you know, if it's worth doing, it's worth
12 doing badly. But it requires some caveats, I think, to be
13 clear and honest with folks about what you can and can't
14 say with reasonable confidence.

15 And, you know, and I mean measurements have this
16 appearance of precision. And I think it's hard not to
17 convey this single -- the confidence that it does of a
18 single point value. So I don't know whether you want to
19 try to convey a cloud rather than that, giving some
20 representation of uncertainty about the reference range.
21 Maybe that's better. I don't know.

22 I mean, that's -- I mean, it would be interesting
23 to have the folks who are doing the social
24 experimentation, you know, think about that as well. I
25 mean, it's nice to have this nice X, you know, but maybe

1 that's not -- maybe if the reality is a cloud, maybe you
2 can make some other representation of it.

3 DR. SOLOMON: This is Gina Solomon. Thanks,
4 Dale. That was a fantastic talk. And my question is
5 about that slide in which you proposed looking at markers
6 of effect. And many of the things, maybe I missed some,
7 but most of the ones that I saw were clinical markers of
8 one kind or another.

9 DR. HATTIS: Yes.

10 DR. SOLOMON: And so I just wanted to raise the
11 issue of biological markers of effect, which sort of
12 begins to get into some of the ToxCast stuff that was
13 discussed earlier. Some of those types of assays could
14 actually be done on samples from participants or, you
15 know, markers of oxidative stress could be studied. Are
16 you thinking along those lines as well or were you
17 thinking -- because, you know, there might be differences
18 in terms of, you know, the capabilities of a biomonitoring
19 program to look at markers in blood samples, for example,
20 versus doing clinical measurements on patients.

21 DR. HATTIS: Yeah. My prejudice -- and this is
22 because I'm a risk assessor, okay. My prejudice is to use
23 things that already have pretty good and ideally as
24 closely causal as possible relationships to real
25 quantifiable risks. So whereas, I think that markers of

1 oxidation are important as causal -- as potentially
2 important causal pathways, they're not yet relatable to
3 my, you know -- I bet you eventually, they're going to be
4 relatable to real incidents in severity of adverse -- of
5 disease processes. But I think we're not -- but today, I
6 wouldn't know exactly how to use a decrease in a
7 glutathion concentration or something of that sort.

8 But I'm hopeful that eventually that way is open
9 to helping to quantify, you know, risks, but -- in the
10 short term, because I know the relationship between sperm
11 counts and probability of conception, I can quantify that,
12 so I'm happy -- that makes me happy.

13 DR. ZEISE: Thank you, Dale.

14 Okay. Our next -- can you save it till the --

15 DR. MARTY: Yeah, I'll save it.

16 DR. ZEISE: Okay. So our next speaker is Amy --
17 Dr. Amy Kyle. And her talk will be understanding and
18 interpreting biomonitoring results in the context of
19 sustainable communities.

20 (Thereupon an overhead presentation was
21 Presented as follows.)

22 DR. KYLE: Wow, this is very fancy. Hello,
23 everyone. Well, I'll just tell you right at the outset,
24 I'm the sister from the other planet here today.

25 (Laughter.)

1 DR. KYLE: Which is I'm sure why they put me
2 last.

3 (Laughter.)

4 DR. KYLE: And, you know, I'm just meditating.
5 This is going to be very obscure to you those of you on
6 the webcast, so I apologize right now. But, you know,
7 here we are in a room, where we have the clock that's
8 wrong.

9 (Laughter.)

10 DR. KYLE: And yet we're following it right on
11 the wrong time.

12 (Laughter.)

13 DR. KYLE: And I'm wondering just to myself,
14 well, is this because this is the risk assessment
15 community?

16 (Laughter.)

17 DR. KYLE: They would rather have a definitive
18 number, even if they know for sure it's wrong.

19 (Laughter.)

20 DR. KYLE: So I don't know, but I'm wondering
21 about that.

22 Anyway, even though -- you know, I -- it didn't
23 say this, I guess, in my bio, but I spent my formative
24 years in public service. And so my interest is really in
25 public policy, and what we do in the real world that

1 actually might or might not change things for people's
2 health. That's my interest.

3 And so I think about things just different from a
4 lot of the speakers here. But nonetheless, I found the
5 presentations just fantastic. And I really appreciate
6 them all, even though I'm going -- I may not sound like
7 that, because I'm thinking about this from a different
8 way. So it's not so much maybe that I'm trying to say
9 anyone else's way is wrong, is that I was bringing some
10 diversity into the perspective today. So I hope we can
11 all take it like that.

12 --o0o--

13 DR. KYLE: So what do I do? Yes.

14 So my -- I have to say this is really cool the
15 thing is down. I can see you. I can see it. It's
16 awesome.

17 So I'm talking about biomonitoring and then
18 sustainability. And so while that's kind of a big leap,
19 isn't it. So the way I thought I'd approach that is that
20 I want to reflect for a moment on what is this all about?
21 And you know the challenge to the Science Panel is that
22 what it's really about, in policy terms, isn't a
23 scientific thing, right? I mean, why did this law get
24 passed? And why is the money being raised? And, you
25 know, what are we hoping to get out of it? It's not

1 really a scientific question.

2 And so when we think about the design of the
3 program, there's a lot of scientific stuff that's
4 relevant, but also what is it about? So I'm wondering
5 about that a little bit, as I hear this discussion. What
6 do we think this is really About?

7 So I have some pictures just to think, well, does
8 it look more like this or this? I don't know.

9 And then this issue of numbers and numbers and
10 actions for populations or public spaces versus
11 individuals and private spaces is really on my mind as one
12 way to think about some of what you all are facing. It's
13 not the only way and it doesn't describe everything, but
14 I'm going to say a little bit about that.

15 And then the third thing is if we're thinking
16 about public policy, then I think it helps to think about
17 environmental health as a policy system, which it isn't
18 completely, but it should be more, but -- and what I mean
19 by that is a system of things that -- a system of actions,
20 analyses, decisions and so on that is collectively, in all
21 its complexity, trying to improve health. And how can we
22 contribute if we think of it that way?

23 And that's very different from thinking about it
24 from the point of view of science and research. So I'm
25 going to ask you to bear with me for a moment while I try

1 to explain that. And it leads to wholly different kinds
2 of metrics and different ways of thinking about actions.
3 And I think it gets us back to this issue of unknowns,
4 which I think Gina Solomon was talking about yesterday and
5 how significant really that is at this point.

6 And then I'm going to say a few words -- and this
7 is probably where I'll cross over, in some of your points
8 of view, into the wacky, which is, well, what do we think
9 about this from the point of view of sustainability, the
10 way some people are starting to talk and think about
11 sustainability. What does that -- how will we think about
12 decision making different? And then how is that different
13 from a risk framing?

14 Because I think a lot of people here assume that
15 decision making is mediated through a risk framework?

16 How many people in here think that?

17 No one will admit it now after the clock, right?

18 (Laughter.)

19 DR. KYLE: You know, and I think it has become
20 that. I'm not sure it always was that way. And I don't
21 know that it's serving us well to only think of it that
22 way. And I'm not saying that -- some people hear that as
23 saying, well, you know, Amy hates risk assessment, blah,
24 blah, blah, which isn't completely true, you know. I
25 value the contribution its made. I really do, but I think

1 maybe it's take -- it's hard and it takes a long time and
2 maybe there's some other ways to think about it.

3 So that's what my talk is about.

4 --o0o--

5 DR. KYLE: Okay. So these are some pictures. Is
6 this -- my question now, I look at pictures. Oh, they
7 don't show up very well, do they? So this won't be so
8 interesting perhaps.

9 You can see them, okay. Oh, it's just because
10 I'm sideways.

11 Okay. Good.

12 What is this about? What is this Biomonitoring
13 Program about when we think about it? Is it about --
14 these are pictures I stole from you all.

15 (Laughter.)

16 DR. KYLE: So does this capture it? You know, is
17 it about people, individuals, is that what we think about?
18 And obviously, I'm going to make a case, maybe not. But I
19 am starting off with your imagery that I stole out of the
20 presentations from yesterday.

21 --o0o--

22 DR. KYLE: What about that?

23 You know, those are kinds of products and things
24 that we use in our houses.

25 --o0o--

1 DR. KYLE: Is this what it's about? Are we
2 looking at biomonitoring to understand issues related to
3 combustion in its various forms and markers for that?

4 --o0o--

5 DR. KYLE: You know, is it about dust and stuff
6 in foam and stuff that sort of gets into our houses and
7 lives there forever and is that what we're trying to do
8 something about when we think about biomonitoring?

9 --o0o--

10 DR. KYLE: Is it about packaging and foods and
11 wrappers and containers and stuff that we buy and store
12 things in? Is that what we're trying to deal with.

13 --o0o--

14 DR. KYLE: Or, you know, we have a program in
15 California to deal with cosmetics, to some degree, is that
16 what we're dealing with here?

17 --o0o--

18 DR. KYLE: Or is it about transportation and
19 products and goods and good movement, distribution and all
20 the stuff that goes with that?

21 --o0o--

22 DR. KYLE: Or is it about a lot of these things?
23 You know, is biomonitoring supposed to be about looking at
24 a whole wide variety of environmental factors in some way
25 that makes it more actionable.

1 who want different things and there's a lot of discussion
2 and negotiation going on about how this will turn out.
3 And this is a first draft of one piece of that.

4 But is this a way to think about biomonitoring
5 and what it might tell us?

6 --o0o--

7 DR. KYLE: Now, this is the second half, which
8 I'm just going to skip.

9 --o0o--

10 DR. KYLE: And if we were to think about
11 biomonitoring and, you know, have sort of a list of where
12 we are now and where we're trying to go, you know, in
13 policy terms, can we think about biomonitoring that way?
14 That's what this is. This is a sustainable community
15 strategy planning process, with some of the inputs and
16 outputs and steps and metrics. And they're not using
17 biomonitoring, at this point, but they're talking about
18 things that could be biomonitored, at least in part.

19 And they're talking about equity and cumulative
20 impacts and stuff like that. So again, where we're
21 talking about biomonitoring, what are we really thinking
22 about?

23 --o0o--

24 DR. KYLE: Another example. This is from the
25 Environmental Health Coalition in San Diego. And this is

1 just one of their brochures about what they're about.
2 And, you know, they're talking about issues in a
3 community. And the accumulation of burdens that they
4 face. And you can see that in the pictures and also in
5 the words. And they're working to reduce pollution,
6 protect health. You know, is there a role here? Is this
7 kind of a way of framing what we're thinking about in
8 biomonitoring?

9 --o0o--

10 DR. KYLE: Or again, are we thinking about
11 individuals? You know, I don't know. I think there are a
12 lot of choices to be made, but I guess my point is maybe a
13 little more discussion like that would help just to
14 recalibrate what has been done. And, you know, I think
15 we've come a very long way. So I think if this is maybe
16 in the next phase, and the future of this as it continues
17 to evolve.

18 --o0o--

19 DR. KYLE: Okay. And this issue of individuals
20 and the role that the Biomonitoring Program should play in
21 trying to predict health related issues for individuals.
22 You know, I think it's really -- this is really an issue
23 that bears some thought. And the more I think about it,
24 the more I think that this individual's sphere is a
25 troubling one for the State program for a State -- any

1 State program that's supposed to be about protecting
2 public health and policy action and so on to get into.

3 And I see why. I mean, it's an intimate thing to
4 biomonitor someone, right? You know, it takes something
5 that was part of a living being and measure it and give
6 results back. I mean, that's an intimate thing to do.
7 And you have an intimate sort of human reaction to that to
8 want to give that the right meaning.

9 But there are just real differences, you know,
10 between what we say in a public sphere and in a population
11 basis than what seems appropriate for an individual. And,
12 you know, I think -- I really have -- the more I've
13 thought about this in developing this talk, I think the
14 State's responsibility is to the public. And maybe, you
15 know, Asa just said something about the notification and
16 the initial framing of this for the participants. I mean,
17 maybe it just should be framed as being about that, and
18 set aside some of these issues for individuals.

19 --o0o--

20 DR. KYLE: So you know I have a couple of
21 tangible things that have been on my mind. One is that
22 our record for advice is so bad, you know. I mean, I'm
23 sure you've seen this slide before, the advice on lead
24 over the years. You know, we used to say, well, we'll
25 worry if it was over 60. And then when I was a kid it

1 went down to 30. And then it went down to 25. And now
2 we're saying 10, but we don't really believe it.

3 (Laughter.)

4 DR. KYLE: Right? Nobody thinks 10 is a safe
5 level anymore. And they even rate it down. They say,
6 well should we change it to five? We know there's effects
7 at five. And so here's the gold standard for giving
8 advice and our advice is always wrong. And so, I mean, I
9 just have a lot of humility about -- and, of course, I'm
10 not a medical doctor. So I don't do this anyway. I give
11 people my opinion, but, you know, it's just as a whoever.

12 But I mean how much can we think that we can
13 offer guidance to any individual on their health based on
14 what we know?

15 I don't think very much. So I just wonder about
16 whether we should be thinking about that just completely
17 differently.

18 --o0o--

19 DR. KYLE: And one other issue to comment on is
20 the question that Dr. Hattis raised about these point
21 estimates and dividing lines, and whether that's even the
22 right way to think about health and trying to promote
23 health. And these are -- this is an example again from --
24 related to the work on lead that talks about moving --
25 what happens if you lose five points in IQ across a whole

1 for now.

2 --o0o--

3 DR. KYLE: And one last -- this is probably a
4 politically incorrect thing to raise, and I recognize
5 that. But one other thing that's really on my mind, as I
6 think about this discussion, is there's so much political
7 opposition to setting reference doses and health
8 standards. And, you know, people's appointments get held
9 up, and agencies getting threatened with being abolished.
10 You know, and I mean, there's just -- it's not like its --
11 everyone goes into a room and it's a friendly little
12 thing. It's a very deeply contested process. And so our
13 health protective levels are the result of a lot of
14 political negotiation as well. You know, I think we all
15 know that.

16 But if we're really going to try to rely on this
17 more, then don't we have to -- can't we do something about
18 that? I mean, shouldn't we be trying to buttress up the
19 level of competence of those and reduce the politics, if
20 we're going to try to move in that direction.

21 I guess it just worries me. We're talking about
22 the limitations of model -- of the modeling and so on, but
23 maybe not about the overall decision making process. I
24 mean, I would have ethical concerns in using them in a
25 way, because of that.

1 IRIS, you know, which is EPA's system for the
2 reference doses is described as failing by the Government
3 Accountability Office, because they can't get stuff done.
4 You know, some of these things have been in review since I
5 was in grade school

6 (Laughter.)

7 DR. KYLE: So you know, there's more of a
8 systematic problem here that I think we need to recognize
9 as a community, if we're going to be talking about this.

10 --o0o--

11 DR. KYLE: Okay. So to moving on to public
12 health a little bit as a system, moving on to this next
13 thing. The purview of environmental health traditionally
14 has been things like this, pollution spewing them out of
15 facilities, all those kinds of things.

16 --o0o--

17 DR. KYLE: And we keep bringing in new things.
18 You know, we have indoor environments. We have these new
19 agricultural things. We have climate change. We have
20 consumer products, which I don't have pictured, but I had
21 before. And I think one reason that biomonitoring has
22 become so central is because a lot of these things aren't
23 in our I -- in what monitoring systems we have. And so
24 biomonitorings become kind of a stopgap way of seeing
25 what's going on. So, you know, the phthalate results and

1 maybe even the BPA results that were so shocking really at
2 how much exposure is. It's somewhat of a metric of what's
3 missing out of the system as a whole.

4 --o0o--

5 DR. KYLE: We're moving also towards a broader
6 understanding of the significance of contaminants in
7 environmental health. I think George brought up this idea
8 of cumulative impacts of multiple factors and other
9 stressors. There's a lot more work on social
10 determinants, sensitive windows for exposures, the
11 importance of background levels of things, and the
12 variability of sensitivity and response that people have
13 talked about here.

14 So we are recognizing that more things matter and
15 that the ways that they matter are maybe more complicated
16 than many of our methods would reflect.

17 --o0o--

18 DR. KYLE: And I just -- I wanted to for a moment
19 note that in the biomonitoring program, there's a
20 discussion of the significance of honoring the principles
21 of environmental justice and the environmental justice
22 plan for the state. So it's recognized even in the
23 statute in this way, that some of these other issues need
24 to be contemplated as part of this.

25 --o0o--

1 DR. KYLE: So, you know, if we think of a system
2 where we're trying to look at what the functions of
3 environmental health should be and how they relate to each
4 other, rather than starting from the data, you know,
5 rather than starting from biomonitoring data, you know,
6 I've put down some categories here of things that we do in
7 environmental health. You know, we obtain data. We try
8 to analyze it and understanding things. We communicate to
9 people, so they can understand. We try to take effective
10 actions, and then evaluate to improve and correct.

11 And it's very complicated, because it's not
12 located in any institution. And I don't know if you've
13 ever seen Tom Burke's pictures of the environmental health
14 system, but they're like these mazes, you know, of
15 different people who are involved.

16 --o0o--

17 DR. KYLE: And this is a simplistic diagram that
18 tries to illustrate this in an oversimplified way using a
19 little bit of the World Health Organization framework for
20 this that looks at driving forces and sources of agents,
21 and ambient media, and then exposure media and then
22 finally people down the left-hand side.

23 And my whole point in showing you this is that
24 when we think of this as a system, there are ways of
25 intervening at each of these different stages, you know,

1 that we have policies that are at the very more upstream
2 end. Then we have policies at the very downstream end.

3 Now, biomonitoring is, in some ways, almost
4 beyond the downstream end. It's sort of after we've
5 failed, you know, we have contamination in people. And
6 our interventions, our public health interventions, should
7 be before we get to that point.

8 So how do we think about biomonitoring in this
9 context, I think, is -- you know, where could this be
10 useful, helpful, advance us? And I have some examples
11 here that, you know, I don't have time to go over in
12 detail that are the arrows about where biomonitoring
13 results and data have been used in these -- at this
14 different levels.

15 So, you know, I encourage us to think a little
16 bit more like that.

17 --o0o--

18 DR. KYLE: This is a better more complicated
19 example of the model that I'm not going to go over.

20 --o0o--

21 DR. KYLE: So in doing that, then, you know, I
22 have some suggestions in terms of the kinds of metrics
23 that we've talked about.

24 So far we've talked about these equivalents for
25 single chemicals, you know, the equivalents between our

1 reference doses and what that would mean in biomonitoring
2 terms. And that's single chemicals. So I guess that's
3 all to say about that.

4 And I think there's other kinds of metrics that
5 would inform us at more of a systems level. And one of
6 them I call group scale metrics, which is occurrence
7 metrics. And this comes out of biomonitoring, what is
8 present and where? You know, what are time trends? Are
9 things getting better or worse? What about metrics of
10 burden that could look at this issue of variability, but
11 in terms of overall burden, not only just individual
12 pollutants? And what about burden metrics that combine
13 with other stressors? You know as we try to think about
14 other determinants, are there some ways that we can look
15 at that overall burden?

16 Who's giving time here?

17 Have you given me a signal yet?

18 DR. McNEEL: Yes, I gave you that one.

19 DR. KYLE: Okay, I didn't see it. I was
20 wondering. I thought, hmm, I haven't seen anything here.

21 (Laughter.)

22 DR. KYLE: The second one -- I thought well,
23 maybe I'm getting a free pass.

24 (Laughter.)

25 DR. KYLE: Okay. Geographic metrics is another

1 one. And then there's system scale metrics. And maybe
2 this is even a little bit wackier idea. But, I mean, we
3 talk about people's anxiety when they get their
4 biomonitoring data, and, you know, whether having that
5 data causes them to worry.

6 But what's the -- you know, maybe not having that
7 data is a problem too. You know, I mean maybe there's
8 some ways we could be talking about, well, how much of
9 what we should know do we know?

10 You know, I mean, what percentage of chemicals in
11 use are represented here or how much of the exposure that
12 we have, do we understand in any way, or, you know, where
13 are we in terms of how much -- how far along in terms of
14 what we think we need to know to interpret something?

15 So we have some kind of performance metrics that
16 are outside simply the cause and effect, but help people
17 to understand where we are and where we have to go.

18 --o0o--

19 DR. KYLE: And then this issue of the unknowns.
20 You know, I think metrics for the unknowns are really
21 important, and hard to do obviously. But we're so lacking
22 in any ability to describe what we haven't gotten to or
23 what we haven't been able to do.

24 And, you know, I think we need to begin to have a
25 way to talk about that, again to think about this as a

1 system. You know, what percent of the exogenous compounds
2 did we measure when we did this or what percentage, even
3 in the environmental compartments releases do we monitor,
4 account for? You know, what is our system really doing?

5 --o0o--

6 DR. KYLE: Inequality metrics is something we've
7 done a little bit of work on, not related directly to
8 biomonitoring, but again how do we measure inequality is
9 an important thing in some of these contexts?

10 --o0o--

11 DR. KYLE: Okay. And then cumulative impacts.
12 I've talked about this -- mentioned this already. And
13 I'll just remind us that OEHHA has a draft of an approach
14 to begin to work on cumulative impacts.

15 --o0o--

16 DR. KYLE: All right. So sustainability. This
17 is, I guess, my last sort of perhaps wacky step here of
18 different ways of thinking about how to move forward in
19 environment and health and solely risk-based sorts of
20 approaches. And the sustainability people -- this is in
21 the context of climate change, but what they're -- and
22 I've quoted this from a paper by McMichael et al. talking
23 about thinking of the larger trajectory of what we're
24 facing in a larger way.

25 And we are seeing a move towards sustainability

1 in a lot of different contexts. And I think to capture
2 maybe the gist of what that represents is a sense of
3 trying to at least be moving in the right direction on
4 things. You know, at least if we're going to try to move
5 towards a more sustainable world, we want to stop having
6 things get worse and having them start to get better. And
7 so time trends sorts of analyses are important in looking
8 at this.

9 And it's something that you can understand in a
10 much more simple way, and that doesn't require the level
11 of kind of argument and debate and discussion and endless
12 kind of reworking that we've seen in this kind of risk
13 assessment world. So I'm not saying we should abolish
14 risk assessment, of course. And sometimes you need the
15 level of the bright line number that you get out of that
16 for some purposes.

17 But I'm also wondering whether it might not be
18 time to look at some of these metrics that look at whether
19 we're moving in the right direction, and sort of softer
20 measures of can we get rid of some of the exposure that we
21 have? I mean, we have perhaps, what I might call,
22 gratuitous exposure in toxicity, I think, in some of the
23 products, where we don't really need to have the exposure.
24 We don't need to have the toxic substance. Maybe we can
25 think of ways to measure that and move toward that.

1 went over a minute or two and I look forward to the
2 discussion.

3 (Applause.)

4 DR. ZEISE: We'll take a couple questions.

5 DR. KYLE: I know what time is it, right?

6 DR. ZEISE: Ruthann.

7 MS. RUDEL: Hi. Thank you for that talk. You
8 helped me tap into my inner anti-risk assessment child.

9 (Laughter.)

10 MS. RUDEL: And it just -- I'll just underscore,
11 you know, underscore some of your points by reflecting on
12 a meeting that I was at awhile back --

13 (Thereupon cell phones rang.)

14 MS. RUDEL: Everybody is getting phone calls.

15 (Laughter.)

16 DR. KYLE: Another nuclear site must have blown
17 up or something.

18 MS. RUDEL: And we were talking, I think, about
19 PCBs or mercury, but something where we do have a pretty
20 good idea of what the health effects are, and that they
21 are occurring at current exposure levels in the general
22 population, and even sometimes what the sources are or how
23 to intervene.

24 And somebody got up and said, you know, what
25 really is the point of doing more environmental health

1 research to understand the relationships between exposure
2 and disease if even when we do know the answers we don't
3 really do anything about it?

4 So, yeah, I don't know how the Program can move
5 us to the direction of actually, you know, acting on the
6 information that we have. But I wouldn't -- you know,
7 hope it can.

8 DR. ZEISE: Melanie.

9 DR. MARTY: Yeah. I just had a comment. This is
10 Melanie Marty from OEHHA. Sorry.

11 Amy, I really liked your presentation. And I do
12 risk assessment and I'm proud of it.

13 (Laughter.)

14 DR. MARTY: And, yes, I understand -- we all
15 understand the uncertainty. And the reason for the
16 uncertainty is the complete failure of chemicals
17 management in our society. So it just pervades
18 everything. It's why we're even sitting here.

19 But I think you have made some good points that
20 may be, you know, Asa may have been -- and I'm
21 interpolating what he was saying. But it's so hard to
22 take individual measurements and tell anybody anything
23 about what they mean. But I think that overall the
24 biomonitoring information is really useful for exactly
25 what you're talking about.

1 Information is power. Somebody said that
2 earlier. So it will feed into -- you know, never
3 underestimate the power of market or people's choices. If
4 they -- if the public starts to realize these things are
5 everywhere and in all of us, I think we will see a much
6 faster shift in exposure reduction on the part of people
7 making products than any other regulatory hammer could do.

8 DR. KYLE: Well, I think these are maybe a little
9 bit more in the phrase of comments than questions. But,
10 yeah, you know, I think you're absolutely right. So maybe
11 just leave it at that.

12 DR. SOLOMON: Okay.

13 DR. ZEISE: Well, now what we do, I think what
14 we'll do is have a discussion of these last 3 talks, and
15 then take a break, and then have all the speakers come up.
16 So I was wondering if the 3 speakers could come up to the
17 front. And maybe we could start with you commenting on
18 each other's presentations and having a little discussion,
19 and then we'll move it out to the audience.

20 MS. HOOVER: Just clarify that we're switching
21 the agenda.

22 DR. ZEISE: Yes. We're switching the agenda. So
23 we're going to have a discussion of this. Then we'll take
24 a -- we're going to have a discussion of this afternoon's
25 talks. Then we're going to take a brief break, maybe 15

1 minutes, and then we'll have all the speakers come up and
2 have a discussion of the whole day.

3 So, Dale, would you like to start.

4 DR. HATTIS: Well, I guess I want to respond, to
5 some extent, by saying that bad as the numbers we can make
6 are, and, you know, I think helping people understand them
7 as best we can is empowering. And we should affirm the
8 autonomy of people as you, I think, indicated. And that's
9 part of our -- that's part of our duty as techies to
10 destroy our special status as custodians of this
11 information by, in fact, communicating what we think we
12 understand as best we can.

13 DR. ZEISE: All right.

14 DR. AYLWARD: Yeah. I mean, I have just a couple
15 of observations. I think that the systems level approach
16 and thinking process that you've -- and the questions that
17 you've outlined, Amy, are excellent ones. And I think
18 they really are the central way to really put these things
19 all into a framework as we think about environmental
20 health and sustainability. And I completely -- although
21 the spaghetti charts and the process and these kinds of
22 things tend to be off-putting, I think they're really
23 important to think about the interactions in the system.
24 And I think that that kind of thinking is really
25 important.

1 As Dale says, I'm probably more of a techie, and
2 so I try to use my skills on the technical level. But I
3 recognize, and I think it's incredibly important, to have
4 the thinking going on at a more meta level to really think
5 about these things.

6 And, you know, I was struck by many aspects of
7 Dale's presentation. I don't disagree with Dale at all
8 about these things. And I would just suggest that many of
9 the limitations he identified in the approaches that we've
10 outlined really are the limitations in the underlying risk
11 assessments not specifically in the types of things that
12 we're trying to do with those by integrating other data.

13 And then finally, the other observation is that I
14 want to go back to the streetlight and spot -- and
15 flashbulb metaphors that people were using this morning.
16 And I think it pertains to Melanie's comment, in terms of,
17 yes, people absolutely, when publicity and information
18 about exposures come out and people absolutely, both
19 manufacturers and people who use products, they do tend to
20 reduce their use. They reduce the thing that's getting
21 the attention today, you know, whatever that happens to
22 be.

23 My concern always is we have something that's
24 extremely well studied, and we understand risks and the
25 uses of it. And we abolish it, because it receives

1 attention and it gets replaced with something else. And
2 that something else is almost never understood or studied
3 or evaluated anywhere near to the degree that the thing
4 that we're replacing is.

5 And so then we're constantly chasing this,
6 because now we're not biomonitoring that chemical, not
7 this decade, maybe next decade. And so I really think it
8 goes back to sort of this more systems approach, where we
9 really need to think about the fundamental characteristics
10 of what we're doing in a way that allows us to sort of
11 avoid chasing the last emergency, which got the attention,
12 and moving towards something that just fundamentally makes
13 more sense.

14 DR. HATTIS: Yeah. I absolutely agree with that.

15 DR. KYLE: I think it's my turn.

16 (Laughter.)

17 DR. KYLE: So I absolutely agree with that, too.

18 (Laughter.)

19 DR. KYLE: Sorry, Dale.

20 DR. HATTIS: That's okay.

21 DR. KYLE: You know, yeah, absolutely. And
22 commenting -- just to comment a little bit on some of what
23 you presented. I really liked the way you showed that,
24 sort of, transition in methods. And, you know, the
25 evolution of -- I think you might have called it risk

1 assessment.

2 But what you were talking about is our evolution
3 from single chemicals to looking at cumulative impacts and
4 then this -- so we have conceptual evolution. We also
5 have a methodological evolution happening with all this
6 new stuff about high throughput methods, and so on. And
7 so I think we really have 2 transitions going on. We have
8 this testing transition that is really getting pushed hard
9 now by EPA and NIEHS for a variety of reasons.

10 And then we have this evolution of thinking too,
11 and -- you know, that that -- so one of the issues that
12 raises for all of us is what are we going to turn our
13 attention to? Because you can't pay attention to
14 everything. And so your time and attention is maybe the
15 most limiting thing that we have. And so how can we
16 marshal our resources and time and attention in a way that
17 takes advantage of all the wonderful wealth of knowledge
18 of all the speakers and your worlds and friends and
19 everything, which I completely honor, not really being a
20 risk assessor or, you know, any of those things.

21 But also connect with the next phase, sort of, on
22 the policy side too, you know, bring these things along
23 together. And that's to me where there's maybe an area of
24 just collaboration that's bigger than just being about the
25 risk assessment community.

1 DR. HATTIS: Yeah. I just wanted to continue.
2 You know, experience, which I've accumulated now in
3 excessive amounts, is the ability to recognize a mistake
4 when you make it again. And Lesa's general statement
5 that, you know, you eliminate hazard A and it gets
6 replaced with closely allied chemical B, this has happened
7 quite a bit. You know, I mean, I remember there was
8 during Vietnam War there was quite a hullabaloo about
9 Agent Orange and 2,4,5-Trichlorophenoxyacetic Acid.

10 And after the hullabaloo and the immediate
11 emergency response to the teratogenic information on that
12 chemical was understood, it was replaced in people's lawns
13 and gardens by Sylvex, which as it happens is
14 (2,4,5-trichlorophenoxy) Propionic Acid.

15 Well, was there good reason to believe that was
16 better? I suspect not. But such was the
17 chemical-by-chemical focus of the regulatory decision
18 making, that that's what happened. And, you know, maybe
19 it's -- I mean, I don't know, in the fullest of time,
20 maybe it turns out that that was a wonderful idea, but I'm
21 not sure.

22 And to some extent, you know, I get afraid that
23 we, you know, tend to be -- you know, as contributors to
24 decision making, we tend to be doing this more symbolic
25 kabuki charade, rather than making real improvements. And

1 so I think it's trying to do the system's thinking is
2 hard, just because it's hard to develop comprehensive
3 information about the relevant choices.

4 But if we understand that trying to understand
5 the different pathways, the different trade-offs of
6 different kinds of effects, that that's the real problem,
7 that rather than a single pathway, single chemical, single
8 effect type analysis, you know, that's important. And
9 somehow we have to help the people who are making the
10 frame -- the legal framework accommodate the real
11 limitations of our -- of the information that we can
12 produce, and still allow reasonable choices to be made.

13 DR. ZEISE: Okay. Anyone, either Amy or Lesa
14 like to comment or should we move to the audience now?

15 Okay. Mike.

16 DR. WILSON: Sure. I'm Mike Wilson at UC
17 Berkeley. That was just a real interesting set of
18 presentations. Thank you. And I guess I'm sort of
19 picking up on Amy's theme about systems thinking. And
20 that the system of environmental health has been about
21 gathering, analyzing information, interpreting that
22 information, communicating it and then perhaps setting
23 safe levels and so forth. And you've offered this
24 critique of that.

25 And one of the things that struck me as I was

1 hearing the talks is that this kind of discussion never
2 occurs in the arena of the people that are designing the
3 chemistries that we're trying to work with -- set risk
4 levels for and so forth.

5 And so I guess -- I guess my -- I have a question
6 to you. And that is that is the problem really about risk
7 or is it really a design problem? And that can -- by a
8 sort of chemical design problem, and are there
9 characteristics of the substances that we're finding that
10 are biopersistent and so forth that are problems of
11 chemical design. And is there a role that the
12 environmental health sciences can play in assessing that
13 information from a design perspective and communicating
14 that to the world of chemistry and chemical designers?

15 DR. HATTIS: I could respond a bit. I think the
16 answer is tentatively yes, there's a tendency to be
17 fighting the lasts war, of course. But we have enough
18 experience to recognize certain flags, let's say. So if
19 you showed me a chemical that has an aliphatic -- that has
20 an aromatic bromine in it. Okay, I'm going to say, wow,
21 I've seen that kind of a grouping before. That tends to
22 be persistent in the environment.

23 If you showed me an aliphatic bromine, that's a
24 straight chain, you know, that tends to give -- make an
25 alkylating agent. So I'm going to worry about that. You

1 show me a chemical -- but I don't know enough to be really
2 good about trading that off against, say, an aliphatic
3 double bond, right. I know that a double bond sometimes
4 gives rise to an epoxide when metabolized. So I now know
5 enough to recognize those things, and -- but I think we
6 need to develop the, you know, quantitative system -- you
7 know, structure activity understanding to a greater degree
8 than we now have, I think, in order to give the chemical
9 designer good clues as to how -- but so, again, when
10 you're saying -- I mean, we want to be as green as
11 possible rather than green chemistry.

12 So, I mean, I think -- so, I mean, basically if
13 you say I see an ester linkage, ah-ha, well, this I know
14 biological systems handle pretty well and is going to be
15 relatively short lived, other things being equal. So
16 that's a good grouping in some sense. So it's nice -- it
17 has -- so this is drawing upon my 40-year old
18 understanding of -- of organic chemistry. But I think
19 that there is some lessons we can draw.

20 But you'd show me n-hexane, right. If I didn't
21 know that that was metabolized to a neurotoxin -- a
22 neurotoxic metabolite that is hexanedione, I would be hard
23 put to figure that out.

24 So I now know how to recognize -- you guys are
25 more -- you're more chemical perhaps than I am.

1 DR. AYLWARD: And I mean there's this fundamental
2 problem too, in that a lot of the design characteristics
3 that make a chemical commercially valuable, you know,
4 really stable, flame retardant -- you know, a lot of these
5 things that actually they're really good for a purpose,
6 you know, protecting wires or doing things, being in a
7 transformer. And they're the exact same things that make
8 them environmentally undesirable.

9 I mean, there's some fundamental issues with
10 that. Reactivity, you know, may be very desirable from
11 the point of view of the chemical manufacturing process.
12 The chemical that's going to get used in may be very
13 undesirable from the point of view of alkylating a DNA,
14 you know, strand.

15 So, you know, and the problem I think is like
16 many other parts of our society is that, you know, it used
17 to be that big chemical companies that were coming up with
18 new chemistry and new things, they had -- they did have
19 toxicology departments. They had things -- you know,
20 people who at least had some thinking on these sorts of
21 things and they could do something if they were asked.

22 Now-a-days, you know, there are only a very small
23 handful of chemical companies that have any kind of
24 toxicology or health people involved. And they're often
25 not involved in the design process. They're involved in

1 reacting to other issues that have come up post-market.

2 And so I think that it's -- you know, it's just
3 like a lot of other things is that we've cut things and
4 don't have an integrated system on that side. So I think
5 there are characteristics that could be evaluated, but I
6 also think that this is an arena where maybe some of the
7 high throughput screening omics and some of these things
8 might actually help us a lot. Because as Dale says, you
9 know, there are some things that you might consider to be
10 a red flag, but, you know, things come up all the time
11 that we look at and just say, you know, ooh, that turns
12 out to be really bad. We don't know that.

13 I mean, I think phthalates. You know, you've got
14 your ester linkage and you say oh, that should be good.
15 It breaks down. It's like, well, it turns out it happens
16 to work really well at being an anti-androgen, you know.
17 And so I think that there are -- I think it's very hard,
18 from just a pure chemical design point of view, to
19 anticipate all those things.

20 Maybe these screening types of technologies will
21 help us recognize pathway perturbations that are of
22 interest. They have to be more metabolically robust.
23 It's one of my biggest issues with this high throughput
24 screening and omics technologies right now is that they,
25 in general, don't include metabolic activation systems

1 that are relevant. Some of them have a partial system
2 involved. But, in general, that's not the case. And so
3 unless you're testing the relevant, you know, approximate
4 metabolite that actually is going to be toxic, you may
5 miss it completely or you may miss a detoxification
6 pathway that's, you know, extremely efficient. And you,
7 know, you might argue that's a better error to make.

8 But nonetheless, these system are really not
9 capable, in that sense at this point in time. And I
10 continue to have reservations about it from that point of
11 view.

12 DR. HATTIS: Yeah, my own --

13 DR. KYLE: A brief comment. And that is, you
14 know, we're running into this world with these new
15 methods. We're going to have methods that can screen
16 stuff out, in the sense of finding things that are
17 problematic, but not really confirm that they're safe,
18 right, because these high throughput methods are going to
19 be able to find problematic mechanisms. So some of -- but
20 they are testing narrowly, so we're not really sure that
21 we're not missing everything.

22 So, you know, I think this is an issue we need to
23 think about sort of in a policy framework. But with
24 regard to the design, well, they still test
25 pharmaceuticals, right. And they design those from the

1 ground up. And so, you know, I'm not really that
2 optimistic it can be -- it seems like there's, of course,
3 a role for design. But if they haven't solved that with
4 all the work that's been done on pharmaceuticals, it seems
5 very unlikely that you're going to somehow differently
6 solve it all for chemicals. So, you know, I would imagine
7 there's going to be a role always for both, and then even
8 for the population follow-up as well.

9 DR. PARK: Yes. June Soo Park from the
10 California EPA.

11 Yes, you know, this is to Dr. Wilson. I'm a lab
12 person so hopefully I'm not in the wrong territory. So I
13 can speak only lab language. You know, as we talked about
14 the -- how good our data is, you know, that we have
15 certain procedures, QA/QC. You know, we have several --
16 also we cross check among the laboratories to, you know,
17 produce some quality of -- good quality of data.

18 Whenever I'm in the biomonitoring talk or
19 session, I feel, you know, strongly how important data
20 interpretation is. You know, the lab person only know
21 chemical is there, and the level is high or low. But the
22 data interpretation will kind of talk on PF of us.

23 So my question is to our California Biomonitoring
24 Program. My first question is for the risk assessment.
25 Do you have some standardization of risk assessment? I

1 run the -- you talk about the birth weight effect, but I
2 know that there has been long time controls there I
3 believe.

4 So I think putting some barriers -- so taking out
5 the barriers will give you a very different result. So my
6 question is kind of leap of a way to approach the data for
7 the California Biomonitoring Program. Do we need some
8 standard risk assessment method, like a check point. Many
9 barriers should be there, like our QA/QC can tell quality
10 of our data for the statistical analysis. Do we need that
11 kind of approaches in our California Biomonitoring
12 Program?

13 DR. HATTIS: The effort to standardize risk
14 assessments has been a goal since the early 1980s. And I
15 think has done, as a general matter, more damage to the
16 field than not, even though it has -- there have been
17 notable efforts led -- some led by Lauren, that produce
18 really useful results in the short run, but it also -- it
19 has the effect of telling people that if you do -- if you
20 go through these things, these, you know, semi-mechanical
21 steps, you will get to a consistent result.

22 And the problem is that you give -- you can
23 achieve procedural consistency much more readily than you
24 can achieve consistency in the goal, given different, you
25 know, kinds of circumstances posed by different chemicals,

1 different modes of action and different endpoints of
2 effect.

3 So I think that while -- you know, as I said
4 earlier, if it's worth doing, it's worth doing badly, and
5 it's worth doing quickly in standardized ways. It's also
6 worth transcending your -- and telling the assessors and
7 the managers that it's worth the candle to try to
8 critically evaluate the way you've done things in the
9 past, and to calibrate your quick standardized procedures
10 against some, you know, more elaborate procedures.

11 Usually, the standardized procedures are made
12 rapid and easy to do, but are never calibrated against the
13 risk goals that you're trying to achieve.

14 DR. ZEISE: Yeah, I think we're all for
15 transcendence here. And I think what we'll do -- and I
16 agree, I think this issue of risk assessment though opens
17 up all kinds of feelings and ideas. So I think we'll
18 bring it back now to kind of the more biomonitoring kinds
19 of questions with, Melanie.

20 DR. MARTY: Yeah. Good, Lauren, you read my
21 mind. You know I had a biomonitoring question.

22 It's actually for Lesa. In terms of the
23 Biomonitoring Equivalents that you calculated with the
24 method. I apologize because I have not read the papers.
25 But within the pharmacokinetics analysis, are all of your

1 inputs -- are they distributions and do those equivalents
2 account for a difference in it by age?

3 DR. AYLRWARD: So let me just talk really briefly
4 about this. This is something we could talk about maybe
5 off-line too. But we have a couple of different -- about
6 4 different approaches that we've typically used in this.
7 And it's dictated by what data are available.

8 I'll give you a couple of the elegant results, or
9 elegant approaches. So for triclosan, for example, in the
10 chronic bioassays that have been used as the basis of most
11 of the risk assessments, the experimentalists measured
12 serum concentrations of triclosan throughout the courses
13 of -- repeated measures throughout the course of the
14 bioassays. So it's a chronic bioassay.

15 So you have actually for the no effect group, the
16 low effect group, the biochemical changes, you have
17 relatively robust measurements of the serum concentrations
18 of triclosan that were present in those animals.

19 So now you can imagine if that -- you know, if
20 that average level is 21, you know, milligrams per liter.
21 I don't remember off the top of my head what it was in the
22 No Effect Level group, and you're going to go and think
23 about your risk assessment, and on an external dose basis,
24 we divided by a factor of 100. Well, if you divide by a
25 factor of 100 from that internal serum concentration, and

1 then you go biomonitor serum triclosan and you find
2 nothing that's within a factor of 1,000 or 10,000 of that
3 concentration in that animal assay, that's pretty powerful
4 information.

5 I mean, it doesn't answer all the questions. It
6 doesn't answer dynamic, you know, possible really, you
7 know, sensitive populations and these kinds of things,
8 which we like to try to address in risk assessment, and
9 whether we're doing it on an internal dose basis, or
10 external dose basis, we're woefully unskilled at that at
11 this point, but it provides you with very powerful
12 information.

13 And we have quite a number of chemicals for which
14 the chronic bioassays included tissue and/or blood
15 concentration measurements during the assays or in
16 parallel experiments. And that really provides very nice
17 information. It doesn't require a pharmacokinetic
18 extrapolation or model. It really just requires kind
19 of -- you know, they were here and, you know, we're going
20 to set a benchmark, you know, down here. So that's one
21 approach.

22 The urinary biomarkers almost always are done on
23 a mass balance basis. We know -- we have human volunteer
24 studies in many cases where we know you put this much of
25 the chemical in, you get this fraction of it out as this

1 type of metabolite in the urine over the course of 2 days
2 or whatever.

3 But that fraction is just a simple mass balance
4 if you're restricting yourself to thinking about chronic
5 exposure conditions, which is sort of the context of the
6 reference values

7 And so that's a pretty simplistic way to do
8 things. It doesn't tell you anything about internal
9 doses, but it says if EPA has said that one microgram per
10 kilogram per day is a tolerable chronic dose, we can
11 predict the chronic urinary concentration that's going to
12 be associated with that intake. So that's another
13 approach.

14 In some cases, we have very highly developed PBPK
15 models. Toluene is an example. The risk assessment is
16 based on human data. We have a lovely pharmacokinetic
17 model. We can account for SIP 2E1 variation, ontogeny of
18 SIP 2E1 from neonate through childhood and to adulthood.
19 We can do all of those things in the PBPK modeling with
20 relatively robust results.

21 And, you know, that's a whole other kind of
22 thing. That's the exception not the rule. But, you know,
23 so there are a variety of approaches, and it's dictated by
24 the data that are available. But also just in terms of
25 kind of when you're thinking about a steady state chronic

1 scenario, a lot of things become much simpler than they
2 are when you're thinking about things, which you may
3 actually really be interested in, which are these kinds of
4 developmental things. But that's not typically how we do
5 our risk assessments either.

6 So it's -- you know, again the BEs kind of carry
7 with them the limitations of the risk assessments that
8 they are derived from. So short answer, long answer.

9 DR. ZEISE: Okay. Anymore questions?

10 Okay. Well, we'll take a break and come back at
11 3:30 by this clock, and we'll have a panel discussion.

12 (Thereupon a recess was taken.)

13 MS. HOOVER: Okay. We're going to get started
14 with our afternoon discussion. So if the speakers could
15 come to the front of the room.

16 We're going to get some of the details worked out
17 there. I just wanted to first for anybody listening to
18 the webinar, they should again feel free to Email
19 biomonitoring@oehha.ca.gov. And also it would help Amy
20 who's going around with the microphone if you raise your
21 hand when you have a question, so she can see it from the
22 back as well.

23 And what we did just now was we had a bunch of
24 questions. Obviously, this is an interesting topic and we
25 have lots and lots of questions, but we don't have a lot

1 of time. So we have about an hour and a half total for
2 this part of the program. So what we did was we narrowed
3 down the questions and focused in based on some of the
4 discussion today on some of the key questions that we'd
5 like to hear from you on.

6 And we want to hear from both the speakers and
7 the audience. So we're going to try to be doing some
8 strong facilitation to try to keep people to really speak
9 to the point and be succinct, in terms of giving your
10 opinions on these different questions.

11 And some of these questions are designed to try
12 to be broad enough to capture all the varying
13 perspectives. So feel free to offer your perspective like
14 you're asking the wrong question, you know, that kind of
15 thing too.

16 So we're going to just start with this question,
17 just this general question about what types of
18 approaches -- actually, first, I'm going to run through
19 the 3 questions so you see where we're going with it and
20 then you can get an idea of how it's structured.

21 So the first question we've changed it just a
22 little. We want this to be a general discussion of what
23 you think we should do to understand and interpret
24 biomonitoring results for the individuals and at the
25 population level.

1 But then we ask a more specific question around
2 this same issue that other than measured levels in
3 relevant populations, should we use comparison levels in
4 blood or urine to provide context for biomonitoring
5 results? And if so, what types should we consider and for
6 what purpose would they be applied. So that's just sort
7 of a more specific question from the general question.

8 And then we do -- still would like to talk more
9 about multiple chemicals. So this question is just a
10 little reframed. And that is how should biomonitoring
11 results be interpreted, given that there are multiple
12 chemicals, including chemicals not being biomonitored,
13 that act in the same way or produce the same health effect
14 for individuals and for sensitive populations? So we're
15 bringing in the sensitive populations there.

16 So let's get started. And I just want to hear
17 some panel -- after hearing today and hearing the audience
18 questions and what the Program is trying to do, if you
19 could just offer, you know, some brief opinions on what
20 approaches you think the program should be using to
21 understand and interpret biomonitoring results? And you
22 can speak to either individuals or the population level.

23 So, Panel Members, go for it.

24 Dale.

25 DR. HATTIS: Well, I guess, I would try to make

1 some kind of integrative synthesis of -- you know, that's
2 in terms of risk or impact for some specific kinds of --
3 like, for example, if I have a series of relationships
4 between birth weight and exposures to different chemicals
5 or if I have a series of potencies, I want to interpret
6 the biomonitoring results, you know, back calculated into
7 exposures, if I can, maybe even steady state exposures,
8 and say, okay, what is the relative significance in terms
9 of population level, gram changes in birth weight, that's
10 indicated by my biomonitoring data?

11 Because at the very least that could give me some
12 priority setting information for exposures that could
13 warrant greater versus lesser public health attention. I
14 did that recently for a series of standard air pollutants,
15 where basically I could quantify -- I had some, you know,
16 published study that quantified interquartile ranges of
17 the air pollutants. And interquartile ranges that were
18 indicated of the birth weight effects, so I could easily
19 integrate those and say, okay, what was the population
20 level changes of particulate -- the PM2.5s versus the
21 nitrogen oxides versus the other. It turns out that the
22 PM2.5s were a little more population impactful than a
23 couple of the others, but the others were all close.

24 Well, I think that gives me some preliminary
25 priority setting information for the standard criteria air

1 pollutants. So I think if extended to a larger extent of
2 exposures, that would give you some information that could
3 be program informative.

4 MS. HOOVER: Other speakers want to comment on
5 this general question? For example maybe, Amy, say
6 something.

7 DR. KYLE: Did you say me?

8 (Laughter.)

9 MS. HOOVER: I did.

10 DR. KYLE: I thought I'd talked enough already
11 maybe. But I mean, you know, I can't answer a question
12 like that unless I say -- unless I know, well, what are
13 you trying to accomplish with this program? Which maybe
14 isn't entirely clear, you know, or is it?

15 Like, what are we really trying to accomplish
16 here to improve public health? And what audiences does
17 that give you in terms of who needs to understand the data
18 and what do they need to understand about it? Those are
19 the kinds of things you think about when you're trying to
20 translate scientific information for other audiences is
21 what does this mean in this other world or context and how
22 can you give it the right significance for that?

23 So it really depends. I mean, are we trying to
24 engage with our larger environmental health system here?
25 I mean, are we trying to identify things that are really

1 not being managed or -- you know, what are our larger
2 goals for this? I think it just bears a little bit more
3 discussion.

4 And for individuals, you know, I think I've
5 already discussed that. So I don't know that I need to
6 comment about that again.

7 MS. HOOVER: Other speakers. Comments on this
8 general question.

9 DR. BAHADORI: Do you think you might answer some
10 of the questions that Amy raised and maybe that will help.

11 MS. HOOVER: Well, I mean, I laid out the talk
12 that Amy missed in the morning about, you know, like the
13 context for how we're viewing it. So basically, you know,
14 this is obviously a growing program. And we have these
15 various goals that are stated in the legislation on
16 various mandates.

17 And one is that we are to return individual
18 results. And it doesn't say in that actual part of the
19 legislation you have to provide advice on follow-up steps.
20 And it has very specific language about if the chemical
21 and physiological data indicate a significant known health
22 risk. So that's actually in the legislation. So
23 that's -- we're supposed to figure that out where we think
24 we can. So that's one side of things is the individual
25 side of things.

1 But there is this larger issue about evaluating
2 the efficacy of public health efforts to reduce exposures
3 to environmental contaminants. And that's what George has
4 been alluding to as well. But that's part of the purpose
5 of this program is to help the State look at -- you know,
6 look at how effective certain programs are being.

7 So that's kind of the way that we framed this.
8 But, you know, I obviously heard all that you were saying
9 and about that there's broader issues involved as well. I
10 don't know if Rupa or George or Lauren want to say more
11 about that, or panel members or SGP Panel Members as well.

12 DR. DAS: Well, Rupa Das, California Department
13 of Public Health and Biomonitoring California. I guess in
14 terms of, Amy, your question about what is our goal? I'm
15 not sure I'm going to be answering exactly what you're
16 asking.

17 The way I see the goals of the Program, the way
18 we've thought about it as a Program is to fulfill the
19 mandates. And the mandates being, you know, in terms of
20 letter of the law to return results to individuals. And
21 by that we mean not just the actual numeric results, but
22 to return it a meaningful way, which is to interpret to
23 the best of our abilities in terms of what does that mean.
24 And that will depend chemical by chemical as to how we can
25 interpret it.

1 And then to use those results to say something in
2 a public health context. So in addition to returning
3 results to individuals, we would also -- we're also tasked
4 with interpreting what it means in a public health context
5 in terms of how effective are our -- I can't remember the
6 exact goal, but how effective are our public health
7 efforts. How can we use biomonitoring results to say
8 something about our public health efforts.

9 DR. KYLE: Well, just to say a short word about
10 this. I know you have something to say. You know, the
11 thing about returning results in the development of the
12 legislation, and maybe Davis would want to comment on this
13 or Sharyle, but, you know, that was a right to know
14 provision of the bill. That if you're going to have this
15 program, people have a right to know their results.

16 It wasn't exactly the purpose of it though to
17 return results to people. It was more like something that
18 needs to be done along the way, needs to be done well.
19 And it raises issues, and I recognize that.

20 And you're dealing with them. But it seems like
21 maybe -- you know, and I will also say this program is
22 growing incrementally and you all have been very
23 resourceful in finding resources and ways to put together
24 stuff. But maybe it's -- it might be time to really
25 think -- have a little strategic thinking about well, what

1 are the questions you can answer with the kind of results
2 you're going to have, and how do they relate to the
3 responsibilities of CalEPA and the Department of Health.

4 And, you know, what are the things that you could
5 shed light on when we think about it from sort of a public
6 policy kind of point of view.

7 Honestly, I don't know enough about the details
8 of everything that you're collecting to be able to say
9 what I would do. But I could investigate that and give
10 you a different answer. But it just seems like maybe
11 that's the next thing to think through in the evolution of
12 the program.

13 MS. HOOVER: Yeah, I realized I should say, you
14 know, stepping back from some of the interpretation
15 issues, just like what the legislation was setup for,
16 which is to figure out what levels of chemicals are in
17 people and what the trends are over time in a
18 representative sample of Californians. And we're not able
19 to do that right now.

20 So that's part -- you know, the legislation was
21 framed around that as a major goal of the Program. But
22 like you say, we've had to, you know, do something a
23 little bit different than what the legislation laid out,
24 because of resource issues.

25 I don't know. George or Lauren, did you want to

1 add anything to that.

2 Oh, I'm sorry. Dana, go ahead.

3 DR. BARR: I just want to add something that, you
4 know, maybe one kind of rudimentary thing you could do
5 is -- somebody brought it up in their presentation today
6 was some sort of reverse dosimetry to try and figure out
7 how -- if a chemical measured in our body was a maximum
8 dose, how that would relate to a reference value that you
9 might have in the state. And if it's 100 times below or
10 200 times below or 1,000 times below, it gives you some
11 indication that you're doing something right to protect
12 the health below the -- the health of the individuals
13 below these standards.

14 Now, one question I have, and I don't know, when
15 you report the results back and you say, okay, we don't
16 know any health risks that are associated with this or
17 whatever you might say, what happens if 10 years down the
18 line we find out that there are risks associated with
19 those low levels, and are there any kind of legal
20 repercussions or -- it was just something I was curious
21 about.

22 MS. HOOVER: Yeah. That's actually -- actually,
23 Amy and I were talking about this in the break about that
24 issue that she pointed out with lead that we've seen with
25 mercury. You know, the more you study, the more you find

1 out. And we already kind of know that, that whatever we
2 say now we might not agree with ourselves later.

3 And the question is, what's -- I mean, to me I
4 feel like we still need to say what we do and don't know
5 right now. You know, I feel kind of an obligation to say
6 what I do and don't know and along with the uncertainty.
7 But, Rupa, do you have another angle?

8 DR. DAS: Well, just in response to your
9 question, Dana. I think we part of -- someone raised the
10 issue of the informed consent process. And what we tell
11 participants is it's really important to tell them what
12 we're going to tell them, what we can't tell them, and
13 what it might mean for, you know, our legal implications
14 in the future. So our informed consent process currently
15 says that we will tell them what we know and we may not be
16 able to tell them the health implications of the findings,
17 but we'll tell them what we do know. So I think in terms
18 of disclosing information now and how it changes in the
19 future and what it means in terms of our legal
20 obligations, I'm not an attorney, and I can't interpret
21 that.

22 But I think we leave open the possibility that
23 the information will change. And we've had discussions
24 actually in -- when we get approval from our IRBs about
25 what will we tell participants if the information does

1 change. If we find -- for example, if we do new analyses
2 and those -- the health implications of those are
3 different than what we are able to do now, do we need to
4 go back and give the participants that information?

5 I think it's a constantly changing field and our
6 information -- our informed consent process leaves open
7 the possibility of going back and giving new information
8 to participants if we find new things in the future.

9 So I don't think what we tell them today is
10 necessarily binding and has legal implications. If we
11 have new information, we can go back and change it.

12 DR. BARR: Well, I think then perhaps one
13 approach could be to use this reverse dosimetry. One
14 thing that concerns me about doing this and one thing I
15 think I kept hearing a lot from people is well
16 biomonitoring tells us what people have been exposed to,
17 or what's in people's body. And that's true about the
18 chemical we're measuring, not necessarily about the parent
19 chemical.

20 And so I think it's important to remember that
21 it's about the -- if we're measuring a metabolite in the
22 body, all we can say is that metabolite is in the body.
23 We don't know if it necessarily came from the parent
24 chemical.

25 So if you do a reverses dosimetry approach, you

1 kind of have to assume that everything came from that
2 parent chemical. And then what happens if then you have a
3 range of exposures that then exceed that reference dose,
4 you don't necessarily know if it's because they really did
5 or because your biomonitoring was overestimating your
6 exposure to that chemical.

7 So I guess in a worst case scenario, if you did
8 that reverse dosimetry and everything came back orders of
9 magnitude lower, then you can at least give them some hope
10 that your exposures are lower than what the reference
11 standards are for the State or for the U.S. or whatever.

12 So that might be one rudimentary approach, but I
13 think Tina might have a more sophisticated approach.

14 DR. BAHADORI: No. I do, but I'm not going to
15 share it, because it's too sophisticated.

16 (Laughter.)

17 DR. BAHADORI: Anyway. I actually had 2
18 questions. One is whether your IRB, in addition to
19 allowing you to go back and supply additional information,
20 does it actually allow you to do additional analyses as
21 the science evolves?

22 And then I have a follow-up question to that.

23 DR. DAS: So your question is, does the IRB allow
24 us to do additional analyses? So as part of our consent
25 process and as part of the sample collection, we are

1 asking participants if they would consent to collecting
2 additional samples for archiving, which would allow us to
3 do additional analyses in the future.

4 And if they consent to that process, then we do
5 archive the samples, and with the understanding that we
6 would do additional analyses in the future.

7 The question of how those additional analyses
8 would be returned, that we'll have to deal with in the
9 future.

10 DR. BAHADORI: Hasn't been addressed.

11 DR. DAS: Because some of those might be
12 deidentified, so if they were deidentified, then we would
13 not be able to return them.

14 DR. BAHADORI: So to that point alone, I'd say
15 it's then particularly important, if you're going to have
16 conversation with some of the colleagues in California who
17 are evolving and developing new methods to develop and
18 interpret biomarker data beyond what is being done right
19 now, to have that conversation, because it may impact how
20 you collect, how you store the samples. So that's sort of
21 an aside.

22 But going back to this question, I'm wondering if
23 a safer thing to do, to me even a more ethical thing to
24 do, and maybe somebody like Sharyle can correct me, is
25 that you provide the numerical data as to the letter of

1 the law and what the expectation was from a right to know
2 perspective, that you provide that to the individuals.
3 But instead of an individual interpretation of the health
4 relevance of that data, that separately a report or an
5 analysis be done to contextualize the overall data.
6 Because I will submit to you that there's -- for a
7 majority of the chemicals even using reverse dosimetry,
8 there's very little you will be able to say.

9 So it might be better to have some kind of a more
10 sort of all-encompassing analysis of the biomonitoring
11 data, that says maybe contextualizes the data, maybe
12 analyzes some of the chemicals, that you have some ability
13 to analyze for and tells an overarching story that says,
14 that unfortunately we don't know yet what else to do, but
15 we will let you know if we find out. But sort of a more
16 of a general picture, than an individual -- because when
17 you -- as a mother, if you gave me individual information
18 about me or my children, I would feel obligated to think
19 of something to do.

20 And if you can't tell me what to do and no one
21 else can tell me what to do, then the whole process
22 becomes very demoralizing and frustrating. And, you know,
23 it becomes a barrier, in my opinion. But if it's part of
24 a sort of a general context, then, you know, it's like
25 everything else, whether I use a glass bottle or a BPA

1 ridden bottle, at least I have a personal decision to make
2 that is part of a bigger context than me.

3 DR. AYLWARD: Can I make a comment, Sara?

4 MS. HOOVER: Yeah, sure. Go ahead.

5 DR. AYLWARD: You know, I think that the
6 limitations and the concerns about communicating about
7 risk assessment-based values, whether we use BEs or
8 whether we use -- do a reverse dosimetry on an individual
9 basis and compare back to reference doses and those sorts
10 of things, I think that those concerns are really well
11 founded. I think that the risk assessment-based values
12 are very hard to interpret, even for risk assessors in
13 terms of what individual risks or population risks might
14 be, because of the way we've done risk assessment.

15 And although I think we're moving towards a more
16 informed and intelligent way to do risk assessment, you
17 know, we haven't done it for anything yet. And so if
18 we're talking about measuring tens or dozens of chemicals
19 and trying to think we're going to have something from
20 that that we're going to be able to say to people, I think
21 we'd be -- is not going to make sense.

22 And so I'm -- although, you know, I've worked
23 very hard to provide these translations, I recognize that
24 they're really principally a risk assessment tool. They
25 might be useful for a physician somewhere, somehow if

1 they're really well informed in talking to people. But
2 they're really not going to be very meaningful, I think,
3 for individuals and particularly when we think about
4 cumulative risk considerations and things like that.

5 What I would suggest, in my own personal, if I'm
6 sitting in the hot seat and needing to think about
7 communication materials for individuals, I think I would
8 have the following elements:

9 I would have both the range of values observed in
10 your own program, in your own -- in your population that
11 you're looking at. I would have the you know, 5th to 95th
12 percentiles from the NHANES program. And perhaps those 2
13 things will look very similar, and perhaps they'll look
14 different and will be interesting to people along with
15 where that person's values fall.

16 For a very select number of compounds, I will
17 have information, for instance, with lead that, you know,
18 as we think about lead, lead is important for childhood
19 development in particular. And I would -- as an internal
20 group, as hard as it is, I would set some level, whether
21 you decide to go with the old value or a more conservative
22 value, set a level and say anyone whose values are above
23 this, we're going to go back and talk to them and think
24 about, you know, what that means. Lead and maybe cadmium
25 and maybe mercury are, you know, chemicals, perhaps

1 arsenic, where we have --

2 DR. BAHADORI: Triclosan.

3 DR. AYLWARD: -- human data. Triclosan. We have
4 human data for triclosan.

5 (Laughter.)

6 DR. BAHADORI: We will soon.

7 DR. AYLWARD: Okay. You know select those
8 chemicals where we have this really a foundation of
9 information that even if we don't perfectly agree on
10 cutoffs or cut levels, we can be informed about what we
11 tell people about those, and have messages associated with
12 those. I agree with Tina that having an overall
13 discussion in terms of what the overall results of the
14 program are going to be used for, what they -- what we
15 think they mean in the context of other surveys that have
16 been done.

17 And then I agree, Dr. Culver had brought up the
18 issue yesterday, I think, about your going to have people
19 who are outside the NHANES 95th percentile. And I think
20 that Ruthann's, you know, discussion about who's high and
21 why, you know, even if we don't know that there's a health
22 effect associated with being above the 95th percentile for
23 some chemical, it's probably worth thinking about going
24 back to those people and being able to do follow up with
25 them to understand if there's something that can be

1 learned from that and advice given to them.

2 And finally -- I'm sorry I'm going on long -- but
3 to the extent possible, you know, whether people are high
4 or not, when you give them biomonitoring data, some people
5 are interested in learning how to reduce their exposures.

6 So even in the absence of a health context,
7 they're going to be interested in saying, geez, you know,
8 do I really need to use that product or whatever. But I
9 will say that it's very important that that information
10 about exposure sources be accurate.

11 I keep seeing that, you know, when people are
12 exposed to trichloroethylene in typewriter correction
13 fluid. And you know, I don't know whether people are
14 still exposed to trichloroethylene in typewriter
15 correction fluid. That's data from 20 years ago. And I
16 don't even know if it's still being used.

17 And so, you know -- and I was describing to
18 Melanie Marty earlier that the FDA has been analyzing
19 personal care products for phthalates. And putting aside
20 DEP, which is used as a carrier for a variety of
21 fragrances and is quite prevalent, they don't find hardly
22 any phthalates in personal care products anymore. They've
23 done hundreds of analyses over the last 4 or 5 years.
24 They've been taken out of most personal care products.

25 So if you go and tell people that their personal

1 care products contain phthalates, and so they're going to
2 stop using shampoo and start washing their hair with bars
3 of ivory soap or something, you know, you haven't done
4 them any favors. They don't know what they're doing and
5 they're not actually interdicting the exposure they think
6 they're interdicting. And so that information really does
7 have to be accurate. And I would suggest that with your
8 analytical capabilities, your labs, you know, might start
9 thinking about studies that might, you know, go out do
10 some market basket studies and think about some of these
11 things. So anyway, I've gone on too long.

12 DR. BARR: Can I just follow up on that. Sorry,
13 Lesa.

14 But when you're reducing your exposures to many
15 chemical, you're replacing them with exposures to other
16 chemicals that you're not necessarily measuring. So, yes,
17 you can probably reduce your BPA exposure by using
18 BPA-free containers, but now you have exposure to
19 something else that might be in there. And, of course, if
20 the last EHP article is to be believed, you may have more
21 estrogenic activity from that exposure as well.

22 And so I think that we need to also put that in
23 context, that we're only measuring a handful of things.
24 And you probably -- I don't know if you'd scare somebody
25 by saying you have lots of chemicals in your body, but if

1 you say everybody has lots of chemicals in our body. In
2 fact, our body is one big walking chemical, because that's
3 we're made of.

4 It may help allay some fears. It's kind of
5 interesting in my survey of Environmental Health Class, we
6 actually do a risk perception. And I'm no risk person at
7 all, but I do teach it on TV.

8 (Laughter.)

9 DR. BARR: Or at least at Emory. But we had this
10 one exercise where you had to rate the relative risks
11 associated with certain activities. You know, one would
12 be skydiving, one would be space travel, one would be
13 consuming illicit drugs or whatever. And it was really
14 interesting. We kind of compared it to a 1997 paper that
15 was published in Science.

16 But basically the things that people were most
17 afraid of were -- or thought that biggest risks were
18 associated with were the things that they didn't know
19 anything about or that the things that they felt like that
20 only isolated people would be doing, like space travel.

21 But things that everybody did, like bike riding
22 in heavy traffic or mountain climbing, they didn't see the
23 same risks associated with that. So I think maybe if they
24 realized that they're more like the average Joe too and
25 they don't stand out, then that would help to allay some

1 concerns about their potential risks.

2 MS. HOOVER: I think Asa has been waiting to make
3 a comment. So, Asa, did you want to jump in here?

4 DR. BRADMAN: Actually, I found this discussion
5 really interesting. And I think actually the discussion
6 is coming to, in some ways, a consensus, at least that I
7 agree with. And I just want to be clear earlier I made
8 some comments, and I wouldn't want to make -- there be a
9 misperception. I think, I'm very concerned about the
10 reporting back requirements of the legislation in
11 California, and if and how we would report a health
12 interpretation to individuals.

13 I think it's imperative -- there's a
14 responsibility for the programs to evaluate the public
15 health implications and the population implications. And
16 I'm afraid that if we report individual risk
17 interpretations, that that could become a fraught process,
18 and it could, you know, delay progress of the program.
19 And I think the ideas that have been laid out here have
20 perhaps -- you know, if there are a select few where
21 there's good information, or maybe limiting it even to
22 something where there's an FDA recognizing diagnostic test
23 and interpretation, that where there's some certainty, we
24 provide some information. And where there's not, as part
25 of the consent process, use that consent process as a

1 conversation, so people know what to expect.

2 I think that's really important. And I know -- I
3 mean, I feel like people who participate in this, there's
4 kind of a civic duty in a way. I'll out myself. I'm in
5 the Kaiser RPGH EHS study. I gave them a saliva sample.
6 I don't really expect to get results back. If I did, you
7 know, I can only take it one way or another. But by
8 participating in the study, I'm hopefully contributing
9 something. I think people take that attitude.

10 Certainly, in my interaction with participants in
11 studies that I've been involved in, people don't expect an
12 individual gain by being in this study, but they do expect
13 to contribute something to a larger effort.

14 And I think what's important with the study
15 though is to make sure you don't take from the
16 participants. And I think this new philosophy of
17 reporting results back, you know, sending letters to
18 participants, not just parachuting in, taking a sample,
19 and leaving. This new philosophy, I think that's
20 governing a lot of environmental health research, because
21 of the environmental justice movement, has really moved
22 forward the whole process of interaction with
23 participants.

24 But we shouldn't -- we shouldn't -- again, we
25 shouldn't get bogged down in trying to answer questions

1 that we can't answer. And I think participants can know
2 that and understand that through the consent process.

3 MS. HOOVER: I wanted to give Ruthann a chance to
4 pipe in here, because you didn't speak to this question
5 originally. And, you know, you've been involved in these
6 studies and interacting with participants. So do you have
7 some comments on what's been said so far?

8 MS. RUDEL: Well, I'm thinking about what Asa
9 just said. And I was always conflicted about putting in
10 the risk-based screening levels into those graphs.
11 Although, we ultimately decided to do it, because it is
12 information that -- so that I -- I was interested in, and
13 so I can just -- I think it's logical to assume and
14 reasonable to assume that the participant might be
15 interested in it as well. And that, you know -- but
16 there -- as I tried to kind of show when I went through
17 that report-back graph, say, with looking at the levels
18 for the health-based guideline values for the different
19 phthalates, you know, there are a lot of problems in the
20 underlying data, and then there's a lot of missing data.

21 But maybe that needs to be just communicated as a
22 part -- you know, as a part of the program. I'm not sure
23 that the solution to that is not -- is withholding the
24 data, but I don't know. And, I mean, I really don't know
25 where I come down on this. But I do kind of have a

1 reaction to withholding information that I myself would
2 look for. If I was in the study, I would look for that
3 information. I would want to see it, what's a
4 health-based -- any kind of guideline values. So I can
5 just assume that somebody else in the study would want
6 that also.

7 I'm thinking about the level of effort involved
8 in coming up with information that is useful to people.
9 We talked about all different components of what people
10 might want to know, like, you know how to avoid it, and
11 the health-based value. And so that might actually --
12 thinking about that is making me think about being more
13 targeted in the analyte list to chemicals where you
14 actually do have a policy -- a reason to care about right
15 now, and that you're willing to invest the effort in
16 looking at the risk assessment, maybe even doing some
17 additional testing, like putting it throughout the EDSP,
18 Endocrine Disruptor Screening Program, protocol, or
19 putting it through the high throughput screening.

20 So that there's -- and I guess Lesa suggested
21 actually, you know, testing products and things to see
22 what they're in. And that's also good. And maybe if
23 industry could be encouraged to provide some of that
24 information, that would make it a lot cheaper for the
25 State.

1 The fact that EPA had to actually go buy samples
2 of dental floss from the pharmacy in order to test them
3 for perfluorinated compounds is just -- you know, it's
4 kind of -- it's -- I don't know. It bothered me.

5 (Laughter.)

6 MS. RUDEL: And, yeah, so and then -- so those
7 are my -- that's my thinking. I'm thinking about in terms
8 of -- you know, that to think more -- I guess be selective
9 about the chemicals your going to look for.

10 But then I think the way -- because this is not
11 turning out to be a representative population-based
12 Biomonitoring Program. It's being done in the context of
13 some specific, some special studies. So the target
14 analyte list is going to be informed by really, you know,
15 what those studies are and what they're trying to find
16 out. And I wouldn't want them to be restricted by --
17 necessarily by, you know, those other considerations. So
18 that's kind of countervailing.

19 And then I just feel -- I feel, you know, pretty
20 strongly that in representing comparison exposure levels
21 and exposure levels from the study, although it's
22 important to put the median, the range, maybe the 5th and
23 the 95th percentile. The maximum, even though
24 statisticians hate it, provides really important
25 information and should always be included.

1 MS. HOOVER: I think there's a question back
2 here. If you could identify yourself.

3 MS. RYAN: Okay. Susan Ryan

4 MS. HOOVER: You need to hold it up to your mouth
5 and speak directly into the mic.

6 MS. RYAN: Susan Ryan.

7 MS. HOOVER: Closer. Right into it for that one.

8 MS. RYAN: Susan Ryan. I'm just here as an
9 interested citizen. It seems like you guys are really
10 heading towards some exciting stuff. I'm really happy
11 that you're doing what you're doing. And it seems like
12 some of the questions that came up about having to respond
13 back to people who actually participated should be like
14 your spring board for what you do next.

15 And I didn't hear the beginning of the
16 presentations this morning. I tuned in at like 11. So I
17 don't know if you already have plans to expand your
18 studies to certain populations, like maybe kids who are
19 dyslexic or, you know, just pick groups that are of major
20 concern to a lot of people, especially things like --
21 we've noticed increased allergies in the children and just
22 pick out things that are interesting and then recruit
23 volunteers for that group as well.

24 And you can use the idea of what's happened here.
25 You know, you feel a responsibility to be able to respond

1 to the people that participated in the study and you can't
2 spend a lot of money. Your resources are limited. I
3 don't know what your other limitations are, but you might
4 be able to work with other organizations like the American
5 Lung Association or the American Cancer Association and
6 get some funding from them for some of these.

7 MS. HOOVER: Yeah, we had actually had a
8 discussion like this yesterday. So I can -- yeah, I can
9 send you some information, but that's still -- you know,
10 thank for your comments, yeah.

11 And I think, did you have a question too,
12 Sharyle?

13 MS. PATTON: I'm Sharyle Patton from the
14 Commonweal Biomonitoring Resource Center. And just a
15 couple of comments.

16 Of course, we do fairly targeted biomonitoring.
17 We go into a community that has some real concerns. But
18 we're finding that any kind of general audience, people
19 are really hurting. I mean, there's probably not a family
20 that doesn't have a close family member with some kind of
21 cancer or some kind of developmental disability. So
22 they're looking around for answers for why.

23 And so doing biomonitoring research with a
24 community is not going to give answers to that kind of
25 question, but it opens up the conversation, and that's

1 what you're really doing. I think we're doing when we do
2 biomonitoring, we're starting to help communities ask
3 questions and we're starting to develop or create a space
4 for community processes to happen.

5 It's always been very powerful in communities for
6 them to talk about, among the participants, what their
7 results were and where their reactions were. And unless
8 they can kind of share that kind of information in a very
9 deep way, it's much easier to talk about all the
10 uncertainties and all the things we don't know.

11 But nevertheless, get a sense that pay attention
12 now to what's going on with toxic chemical policy and what
13 you can do at home. And keep paying attention to the
14 statistics in the same way as you pay attention to the
15 statistics of the -- what's reported on the news every
16 night, the intersection with the car crash that happens.
17 Not all cars crash there, but some do in some way, and some
18 don't. And so try to figure that out. Some people seem
19 to respond differently to toxic chemical pollution.
20 Others do not. Why? What do we know about that?

21 So it's an ongoing conversation. And it's a
22 relationship as well between a researcher and the
23 community and the individual. We talk about in California
24 the slow food movement, all right. Well, this is the slow
25 research movement.

1 (Laughter.)

2 MS. PATTON: We're really taking this on and it's
3 going to be a conversation we're going to have forever.

4 MS. RUDEL: Know your scientist.

5 (Laughter.)

6 MS. PATTON: We all know biomonitoring is a lot
7 slower than we want it to be, and it does take forever.
8 But in that period of time, you are building a
9 relationship with a community and helping a community
10 develop the processes to make good decisions, as whether
11 it's going to be done on an individual basis or a
12 community basis or whether it's going to be in the
13 personal realm or the political realm, you start those
14 processes. I really think that's what it's all about.
15 And I think that's really what we need is communities can
16 then take on these questions and think about them.

17 Toxic chemicals and the fact that we all carry
18 these chemicals in our body is evidence of kind of a
19 failure of many of our prevention policies. Well, that's
20 one crisis we're facing, but it's also the climate chaos
21 changes are happening as well. We need communities that
22 can really think.

23 And like Politics, I think biomonitoring, all
24 biomonitoring, is local. Every piece of data is really a
25 personal story. And that's -- I think we need to talk

1 about that and realize that more.

2 So I just wanted to make those comments.

3 DR. BAHADORI: Sharyle, are you advocating then
4 that the data just be given to them or are you suggesting
5 that conversation contextualize it as well?

6 MS. PATTON: Well, every piece of data goes into
7 a personal story, into a home. And so when we give -- we
8 do give data to people. And, of course, everybody looks
9 at the data and they want to look at who's low and who's
10 high as if it's some kind of contest. That's the first
11 thing they do, they compared their levels to somebody
12 else's in the community or from some other study.

13 But then that opens the conversation about what
14 high levels mean, what low levels mean, what we know and
15 what we don't know. And it's a conversation that goes on
16 for quite some time. I'm not sure it ever really ends
17 with the people we've actually tested. But, yes, we think
18 you talk about personal levels to the individual, but you
19 take on certain responsibilities to tell all we know and
20 all we don't know about how toxicity is moderated by so
21 many factors, and where can you work.

22 And, of course, some communities will look at
23 this information and say we really want to be active about
24 this in a very particular way. And other communities will
25 say, this is interesting, but really what we're dealing

1 with is more important is drug dealers moving across the
2 California Mexico border or we can't keep our kids in high
3 school. And so each community is going to respond
4 differently.

5 But nevertheless, they will have processes in
6 that community now to make some decisions. And they will
7 have the idea that they at least should be paying
8 attention to how these toxic chemicals can cause harm.
9 There's a possibility. The fact that there are hundreds
10 of untested chemicals in their bodies that nobody knows
11 anything about. So this also becomes part of their
12 framing about how they make decisions politically and
13 personally.

14 I just think that's so important. It's one of
15 the tools that we use. I was talking to a colleague
16 earlier -- I'm talking too long. Just to say this last
17 point, that when we are organizing around the Stockholm
18 convention, which is the convention that gets rid of POPs
19 chemicals, we were working with groups, community groups
20 in many countries. And many of those groups joined us or
21 the network, because what they wanted to really talk about
22 was, for example, land redistribution or wealth
23 redistribution. And you can't talk about that in many
24 countries without getting shot. But you can talk about
25 children's health and toxic chemicals, and that's the way

1 to organize a community to start being engaged
2 politically.

3 And I think not that that's true in this country,
4 but to a sense -- in a sense we are talking about toxic
5 chemicals and regulations as a surrogate for other kinds
6 of conversations that haven't quite emerged in this
7 country. So that's also something to think about.

8 MS. HOOVER: Did you have --

9 MS. RUDEL: I just have -- thanks, Sharyle, for
10 that insight. And I had -- I'm excited to be part of the
11 slow science movement --

12 (Laughter.)

13 MS. RUDEL: -- even though, it does seem awfully
14 slow sometimes.

15 (Laughter.)

16 MS. RUDEL: And I had an afterthought about the
17 last comment I made about being more focused with the set
18 of chemicals, so that you're willing to invest in
19 characterizing exposure sources and health effects. That
20 maybe an opportunity for doing more exploratory
21 wide-ranging, like let's look for lots of different
22 chemicals, and just -- and see what we find. Is there an
23 opportunity to do that on deidentified, like blood bank or
24 other kinds of samples, where you're not going to be
25 facing a report-back situation.

1 And then -- so there could be different -- you
2 know, there could be different ways that you -- that you
3 explore different kinds of questions, and that this issue
4 of reporting back can be one of the things that's
5 considered in deciding how to go forward.

6 MS. HOOVER: Dale, did you have a follow-up
7 comment that you wanted to say as well? I thought you
8 were leaning in.

9 DR. HATTIS: We've talked a fair amount about
10 reporting back on an individual level relative to other
11 individuals. And I think that there's some chance that
12 some of the time you want different levels of aggregation
13 in the analysis, that, you know, maybe is your community
14 different than other communities in its distribution of
15 some biomonitored chemical?

16 Or is -- you know, are there some kinds of
17 biomonitored things that are different as a function of
18 fish consumption or different as a function of age or
19 gender. There's a lot potentially in this kind of data
20 that could be of interest, you know, in shaping our
21 picture of overall exposures. And it's -- and that is
22 just -- we need to leave open, to some extent, the
23 question of not only individual versus group average or
24 group distributions -- and I like the distributional
25 representation that you guys did -- but different subset

1 analyses that creativity may suggest itself.

2 MS. HOOVER: Okay. Let's see. I think I'm going
3 to -- before we go on with this, I want to just also do
4 one thing, because we're losing time. I think we've
5 actually already addressed this question, but I just
6 wanted to flash this up, because we've been talking around
7 this, about should we use comparison levels in blood or
8 urine to provide context for biomonitoring results? And
9 if so what types and for what purpose?

10 So I think we actually already discussed this and
11 we got a lot of input on looking at things that we already
12 know. And I wanted to fill you in that actually DPH
13 already has a protocol for lead, so that's been worked
14 out. And we're working on one for mercury. And so we are
15 kind of doing the logical thing of tackling things that we
16 would feel most confident about.

17 But I just wanted to see if anyone had, you know,
18 kind of any other comments on this particular issue
19 about -- because I feel like what Ruthann said is very
20 true, which is I also will say I've been biomonitored and
21 I asked for my results, and I want to see them. And I
22 know that I may or may not understand those results. But
23 I also would have that reaction of I'm going to go look.
24 You know, I'm going to go look at everything that I can
25 find.

1 And we've had this conversation back and forth
2 about -- part of it is I'm an informed consumer. You
3 know, we're informed consumers. We understand the
4 uncertainties and what it does and does not mean. So if
5 we know there's a value out there and it has some meaning,
6 do we have any responsibility in sharing that? And if so,
7 how?

8 So any other comments on this particular question
9 about comparison levels from anyone.

10 George.

11 OEHHA ACTING DIRECTOR ALEXEEFF: George Alexeeff
12 with OEHHA.

13 So I just actually -- I'm going to -- I will
14 answer your question here. But I was going to go back to
15 what Amy was saying earlier.

16 So it takes me that long to digest what you say,
17 Amy. Anyway, so I was thinking about, you know, the
18 question well what's the purpose of the Program. And, you
19 know, just in terms of my understanding of the purpose of
20 the program, there was a strong initiative by population,
21 subpopulations to understand what they perceived as their
22 increased risks from chemicals. And they desire to have
23 this type of information available.

24 So that was part of it. And part of the whole
25 discussions of this bill became apparent to us that in

1 order for us to really actually ultimately give
2 information to populations, we had to have some
3 information about the baseline. And in order to have --
4 and so the question was, well, what about the NHANES
5 baseline?

6 So that raised the other question of, well, we
7 have a lot of populations in California or the
8 demographics in California are different than the U.S., so
9 the question is, is California's exposures different from
10 those in the U.S.?

11 So these are the kinds of questions that were
12 coming out. So one was, are there exposures in certain
13 subpopulations within California which are greater than
14 the kinds of exposures that are generally seen in NHANES?
15 And particularly we were concerned was about the Asian
16 community, which is very high population percentage here,
17 but not nationwide necessarily.

18 And then there was the concern that I think that
19 Dale was mentioning in the sense that were there
20 particular diseases that were associated with chemical
21 exposures? And so that was another ultimate goal to try
22 to see, could one determine that, which would require, of
23 course, other sort of analyses.

24 And then the other question was trends. There
25 was the issue of, well, there's an increase in this

1 chemical, and -- you know, like PBDEs and such were
2 increasing in various populations. So could this be
3 helpful for California to understand trends, increasing
4 certain chemicals?

5 So, I mean, those were some of the purposes that
6 were raised. And then also the one I had mentioned
7 yesterday about the idea that, well, assuming there were
8 chemicals increasing in trends or assuming there were
9 populations that seemed to be excessively exposed, what
10 can risk managers do about reducing those exposures? So I
11 think one would want to look at relevant populations and
12 compare them, both within, you know, communities that
13 might be greatly -- more greatly exposed and
14 subpopulations within the state as a whole that might have
15 a different exposure sort of scenario than than others.

16 MS. HOOVER: I think Gina walked up to take the
17 mic from you, so I think she wants to say something.

18 (Laughter.)

19 DR. SOLOMON: I just wanted to add one more point
20 to the list that George mentioned, because I think it's
21 relevant to this question, which is one of the things that
22 has distinguished the California Biomonitoring Program has
23 been the interest in looking at emerging chemicals that
24 might be of concern in the future, things that are newly
25 coming onto the market to replace ones that were known to

1 be of concern, et cetera.

2 And if one tries to apply a model in which
3 we're -- you know, I mean, it becomes way harder basically
4 to put those into context. They're not part of NHANES.
5 They are, you know, trying to derive any kind of
6 Biological Equivalent number, probably not do -- almost
7 certainly not doable for most of them, because they're so
8 data poor.

9 And yet, the Scientific Guidance Panel and this
10 Program has really identified those as a direction that
11 we -- you know, we want to pursue. And so, you know, I
12 wouldn't, at least, personally want to see that effort
13 slowed down in any way, because of some need to, you know,
14 figure out a context to communicate before we went out and
15 started gathering that information, because by then, the
16 whole point of being ahead of the curve would be lost.

17 And so I think that we do need to think about
18 Amy's point and the, you know, what are the real
19 priorities of the Program and how do we keep moving
20 towards those while also fulfilling the important mandate
21 to communicate results, you know, but not letting that get
22 in the way.

23 MS. HOOVER: Oh, go ahead, Amy. And then I want
24 to go back to some other hands that I skipped over
25 earlier.

1 DR. KYLE: I'll be brief. You know, I think
2 there's different kinds of context and maybe that is one
3 thing to think about. That, you know, you'd expect a
4 different kind of context for an emerging chemical than
5 lead. You know, and maybe that's part of what needs to be
6 communicated here is that we're on way different points of
7 the trajectory of research on some of these different
8 chemicals. And everyone -- everything won't have the same
9 kind of graph and this is why, because I completely agree
10 with you. But on the other hand, that could be
11 communicated, what you just said.

12 MS. HOOVER: Ulrike.

13 DR. LUDERER: So I've been kind of just listening
14 to all this stuff and these really interesting
15 conversations that we're having here this afternoon. And
16 one of the things that kind of has just kind of occurred
17 to me in listening to all of this is that maybe what we're
18 kind of moving toward is maybe a different
19 conceptualization of what does report back mean.

20 I mean, we've been talking about really this idea
21 of, you know, giving individuals their individual results.
22 And I think that's really important. And obviously, the
23 law, you know, mandates it, you know, and potentially
24 giving it -- and I think I'm in favor of the idea of
25 putting it in, if it's available, relative to data, such

1 as NHANES data or population-based data.

2 But then kind of given all the problems that
3 we've been talking about today, all day really, regarding
4 these risk-based reference levels, you know, that we've
5 been talking about again this afternoon, my kind of --
6 what I'm sort of moving toward here is that maybe the
7 report back regarding the potential health risks, if any
8 of them are known, you know, really should be more --
9 could potentially be in the form of sort of periodic
10 summaries perhaps that are sent to the participants of
11 findings derived from the Biomonitoring Program.

12 You know, for example findings regarding heavily
13 exposed communities or subpopulations or findings relating
14 to health effects or disease effects, if those are, you
15 know -- if studies are done in collaboration with others,
16 and then reporting those back in some form to the
17 participants, so that there's an ongoing kind of, you
18 know, communication with the participants. But it
19 wouldn't necessarily have to be on an individualized
20 level, where you're, you know, interpreting each
21 individual measurement that's made in every participant,
22 you know, in terms of their specific health risks, which,
23 as we've kind of all been talking about, we really can't
24 do for the majority of things that are going to be
25 measured.

1 So I just kind of wanted to throw that out and
2 see if other people have thoughts on that.

3 MS. HOOVER: And I wanted -- I know someone --
4 you had a question at the back earlier. Did you still
5 want to make your comment?

6 MS. WASHBURN: My name is Rachel Washburn. I'm a
7 sociologist, medical sociologist. I've been studying
8 biomonitoring. I wrote my dissertation on the politics
9 and history of biomonitoring in the United States. And
10 I'm working on a couple papers now.

11 But just a couple points. I was not going to say
12 anything, but I can't help myself. So one meta sort of
13 point, I think, is the sort of double-edged sword. I
14 think Sharyle it's really important to provide individuals
15 the opportunity to have their results and to have those
16 conversations about environmental health risks generally.
17 I think on the other hand though, there is a way in which
18 it sort of furthers this sort of like neo-liberal
19 neoliberal ethos of individual responsibility and the idea
20 that we can shop our way to safety, which is really
21 stratified by class and education, right, not all of us
22 have the same ability to shop our way to safety.

23 And I think that's a problem. It makes it an
24 individual problem, when really it's a much broader
25 structural issue. Certainly, there are cases where

1 individuals are doing something that is posing, you know,
2 a higher risk to them, but often that might not be the
3 case.

4 And then the second point I wanted to make that's
5 more of a specific point, that struck me actually during
6 the presentations today, as one suggestion for reporting
7 data to individuals. I've interviewed individuals who
8 have been biomonitored about their experience about what
9 it means to them.

10 And I think in some ways being able to provide
11 some of the complexity, I think, is important. I think
12 it's important to simplify, so that people can understand,
13 but I think the issues, especially around the
14 non-persistent pollutants, and the incredible variability.
15 We give a number, and there's this assumption that that
16 number stays the same from day to day, hour to hour, week
17 to week. And that's just not the case.

18 So I think providing people even with the charts
19 Lesa that you had in yours, where you can see the
20 variability -- maybe you can't do that for the
21 participants, but you could say this is the kind of
22 behavior that you might find with this kind of compound, I
23 think people could understand that.

24 And just one last point. I interviewed some
25 folks women who had been monitored for methyl mercury.

1 And, you know, our conceptual frameworks for dealing with
2 health information just still -- we don't have a
3 conceptual framework for how to deal with information
4 that's so variable. So even when I interviewed these
5 women who got a number back, they would tell me, you know,
6 when I asked them what was your result, they'd say I was
7 negative or positive.

8 So still we have to think about what are people's
9 health frameworks and templates? What do they bring to
10 sort of making sense of this kind of data?

11 Thank you very much for the time.

12 MS. HOOVER: And I just want to check before we
13 go on. Is there anyone else I missed, because I think I
14 might have skipped Davis?

15 MR. BALTZ: Davis Baltz with Commonweal. When
16 this bill -- before this Program was -- became a reality,
17 you know, it was in the Legislature for 4 years. And it
18 was quite a chore to convince legislators why it was
19 important. But one of the things we kept repeating was
20 that this is a scientific data-gathering tool,
21 biomonitoring, that is going to provide useful data for
22 subsequent conversations on what to do with the
23 information.

24 And it stems from the fact that, as we all know,
25 there's not enough information about chemicals in

1 commerce. And I think the NHANES experience clearly shows
2 this, that these data sets are very valuable. And the
3 conversation earlier about phthalates and, you know, the
4 anogenital distance that that came out of mining the
5 NHANES data set to a certain degree.

6 So in terms of where this Program goes now, I
7 think it's important that the Program stay focused on
8 generating data and publishing it. And we don't want to
9 get bogged down, as Asa said, in conversations about how
10 do we report results to the point that it slows the
11 Program down.

12 Dana made the point this morning, it's necessary
13 to do repeated studies so that you have trends over time,
14 and that's what we need, so we can make informed
15 decisions.

16 Now that said, the statute requires us to report
17 back results. And we had a great presentation yesterday
18 from Rachel Morello-Frosch and Holly Brown-Williams who
19 showed that it is being done in a sensitive and accessible
20 way. Ruthann's research this morning also clearly --
21 presentation this morning shows that people actually gain
22 some measure of empowerment from hearing their results.
23 They don't go into panic mode.

24 I made the comment yesterday, you know, people
25 are grownups. We can handle this information, and may

1 actually benefit. We don't have to just play defense in
2 reporting results. There's this concept of autonomy and
3 justice, and hopefully some greater awareness of literacy
4 and health and biomonitoring that will prompt people to do
5 something else to reduce their exposures and to those in
6 their family and their communities.

7 And since a lot of the reasons we're in trouble
8 now is because chemicals have been approved for market
9 without sufficient data, ultimately, I mean, it's my hope
10 that, we get to a point where there's requirements for a
11 greater demonstration of safety before things are
12 marketed.

13 So in terms of what we do to help people, yes, we
14 should tell them where they fall within reference range.
15 But we should also tell them that if they had been
16 measured 20 or 30 years ago, the reference range would
17 have been much different. In fact, there might have not
18 even been a reference range, because the chemical hadn't
19 been synthesized yet.

20 One of the things maybe we should consider
21 telling people is so a history of this chemical. When was
22 it developed and when did it come on the market, so that
23 people can see that their grandparent may have been the
24 first in their lineage to actually have been exposed to
25 this thing. So it will give people some sense of the

1 history of this chemical, which is probably relatively
2 short-lived in human existence and how we're going to cope
3 with it.

4 So I think that could be useful information for
5 people. But my key point here is that let's generate more
6 data, let's publish it, and then let's have subsequent
7 conversations in other fora to decide what we're going to
8 do with it.

9 MS. HOOVER: So Ruthann, you had a follow-up and
10 then...

11 MS. RUDEL: Yeah, I was just 2 points that came
12 to my mind, but that what we found and I think, you know,
13 others have kind of echoed this is that the people, even
14 though they might be unfamiliar with this and they even
15 might be uncomfortable with it, people are very familiar
16 with dealing with uncertainty and with decision making in
17 the face of uncertainty. We do it all the time in many,
18 many contexts in our lives.

19 And, as an example, I mean, people enroll in
20 clinical trials. And they have to decide whether they're
21 going to, given the fact that they don't know whether the
22 treatment will work or whether they'll get the treatment.
23 And that's just one example.

24 But people, they might be unfamiliar with these
25 specifics, but I don't think they're so necessarily

1 unfamiliar with the general dimensions of uncertainty and
2 health decisions. And they might not know how to -- you
3 know, there might be limitations in literacy and numeracy,
4 but there's really a pretty good capacity to understand
5 the same things that we're taking from this. So that's
6 one point.

7 And the second is that the participants really
8 varied quite a lot in their interests in this. And
9 certainly some of the people, including some of the
10 highest exposed people, couldn't care less. And that's
11 fine. The idea is of giving people the option to make
12 choices that are consistent with their values, and that's
13 why we're doing this.

14 And so one of the projects we're actually trying
15 to -- working on right now is a digital report back, so a
16 computer-based report back that is very flexible, so it
17 could start with very headliney kind of presentation of
18 the data. And then it allows people to drill down as
19 they -- in the area of what they're interested in. And in
20 that way, it could be presented, you know, kind of with or
21 without health data -- health kind of guideline values,
22 depending on what people are interested in.

23 And chemicals could be grouped. For example,
24 according to where we have a lot of information and some
25 confidence in health-based guideline or a medium

1 confidence and a low confidence and no data. You know,
2 and doing it with -- the no data ones are the red flashing
3 ones. And the high confidence ones are the green ones.
4 You know what I mean. So we so much like to leave the no
5 data ones kind of just dissolve and disappear off the
6 radar.

7 So that's, you know, just another way of thinking
8 about opportunities that you can design methods that are
9 responsive to what people want -- are interested in and
10 can handle.

11 MS. HOOVER: Lesa.

12 DR. AYLWARD: Just a couple of quick comments,
13 both related to sort of reference range. I was interested
14 in your comment about temporal trends essentially. And,
15 of course, for many chemicals we don't really have much of
16 a history in terms of biomonitoring. And so you have
17 history in terms of use and production, but maybe not in
18 terms of biomonitoring, but for some chemicals we do.

19 And actually for those chemicals that we have
20 long history on biomonitoring, most of those are actually
21 very good stories in terms of a public health message,
22 because what we have are, we have lead, we have the
23 dioxins, we have PCB compounds. And for all of those, if
24 you were, you know, a young adult in the 1970s your levels
25 were, in many cases, 10 to 20 times as high as they are

1 now, as a young adult now.

2 And the most highly exposed people that you see
3 in NHANES, for example, with respect to lead or with
4 respect to dioxins or some of these compounds are lower
5 than the medians were, you know, in the 1970s. And so
6 they do demonstrate the power of the data, in terms of
7 being able to both show the effects of actions that can be
8 taken, and in terms of helping, you know, in some sense,
9 to contextualize.

10 And in a related issue, and I know that many
11 folks are very aware of this, but depending on the
12 compounds, and particularly for the persistent compounds,
13 you know, the reference range that gets shown to people
14 really needs to be very age specific.

15 So, for instance, if you go pull data from NHANES
16 for the 95th percentile in the population for dioxins or
17 for PCB compounds, that level is much too high to apply in
18 evaluation of someone for their -- whether their exposures
19 are unusual if they're young, if they're a young adult.
20 You need to use age-specific bins for some of these data,
21 because a young adult -- you know, the 99th percentile for
22 young women in NHANES for dioxins is probably about 15
23 parts per trillion in serum lipid. The 95th or 99th
24 percentile for the whole population is probably about 80
25 or 90 parts per trillion, okay.

1 So if you're using that as your benchmark to
2 evaluate data from a young woman, you're going to sorely
3 miss who's actually a very elevated exposure.

4 And so just as a comment, I think people are -- I
5 think there are plenty of people here who are quite aware
6 of this. But it's very important in selecting that
7 information that goes out to provide context and also for
8 identifying the potential need for looking -- you know,
9 looking for potential unusual exposures that that
10 reference range be chosen appropriately.

11 MS. HOOVER: So I wanted to check with people
12 here. We have a little less than 20 minutes left. We
13 could continue the general discussion or we could turn
14 more to some -- and maybe focus on population and talk
15 about multiple chemical exposures. So any thoughts?
16 Lauren, did you want to...

17 DR. ZEISE: It's a pleasure to do this really.

18 MS. HOOVER: Group, audience, continue the
19 discussion or talk about some completely different topic
20 for a short period of time?

21 So why don't we give it a whirl and see. If we
22 start talking about the same issues, that's fine.

23 So, I mean, I think we've gotten a really good
24 sense. This has been a great discussion about
25 perspectives on talking to individuals and what should we

1 do, and the importance of giving some population context.

2 So maybe this question is something we've thought
3 about it. We've thought about this issue and maybe it
4 would be good to give some time to this. So how should we
5 interpret biomonitoring results, given the fact that there
6 are multiple chemicals, including chemicals not being
7 monitored, that may act in the same way or produce the
8 same health effect? And if maybe we focus, in this case,
9 on -- I mean, it's an important issue to highlight this
10 chemical by chemical number thing -- you know, we have
11 this opportunity with biomonitoring to have an integrated
12 exposure of multiple chemicals in a certain individual, as
13 well as the population, and still we're talking about
14 these chemical by chemical numbers.

15 So we wanted to kind of grapple with this issue
16 about, well, we already know that that's not right, you
17 know, from a whole bunch of perspectives. And this is one
18 of the reasons that it's not right. So any thoughts from
19 the Panel or the audience on this topic?

20 DR. BAHADORI: I'm curious what's integrated
21 about biomonitoring.

22 MS. HOOVER: Well, I just mean that the idea that
23 you can see a whole suite of chemicals present in one
24 person, you know. So you know that it's not just this one
25 chemical you're looking at, but you're looking at -- no,

1 we're not measuring all the chemicals, so it's not truly
2 integrated, but you do have a broader picture of what the
3 chemicals are.

4 DR. BAHADORI: So you had an initial complexity
5 that you can't really say much about any one chemical.
6 And now to expand that to say -- I think still all you can
7 say is that they're present, because I would submit to you
8 that for the majority of these chemicals, we don't know
9 what the health effects are, and we don't -- we've learned
10 with bisphenol A that what we thought we understood
11 through the toxicity testing was not -- didn't reflect it
12 the same way in epidemiological studies, for example.

13 Now, even without making judgment as to which is
14 the right answer, there's conflicting answers. So then
15 what else would you group together put in that bucket
16 becomes additional judgment upon judgment upon judgment.
17 That's going to just to me not make it very difficult to
18 communicate.

19 So I would say that still the better thing to do
20 is to report the numerical values and figure out a
21 consistent story to tell in sort of one place. And then
22 allow people, I think Ruthann said, to be able to drill in
23 and maybe -- you know, if they wanted to tie into
24 additional pieces of information that can help them form
25 judgment.

1 MS. HOOVER: Yeah, and I really was pointing this
2 to more of an interpretation, you know, a scientific
3 interpretation and not necessarily attempting to convey
4 that to individuals. And I do think there are groups of
5 chemicals where we already know this. I mean, like Lesa
6 looked at THMs as a group. There's certain common things
7 about phthalates. So we do have, you know, indications of
8 that already.

9 Ruthann.

10 MS. RUDEL: Yeah. I would -- I think that this,
11 you know, could be an interesting opportunity to do some
12 cumulative assessments. I think that a limitation is
13 that, you know, so you might be monitoring for, you know,
14 for 50 chemicals, and you know that 5 of them are
15 antiandrogens or 10 of them are thyroid active, but, you
16 know, 40 of them haven't actually been evaluated to see
17 whether they are or are not.

18 So I -- you know, sometimes you have to go with
19 what you have. And that might be the case, but it would
20 be nice to have some portion of the Program where you
21 maybe -- whatever the universe is that you're deciding to
22 test for, maybe those can be included and tested in like
23 the endocrine endpoints for the ToxCast or the EDSP
24 program, so that you say, okay, well, we tested all of
25 these, and these are all the androgen active or these are

1 all the estrogen active, these are all the thyroid active,
2 and then, you know, do something -- doing something
3 together.

4 And you could then actually also create mixtures
5 and check them in the in vitro data -- in vitro assays, as
6 well. So that could be, I think, an interesting research
7 program.

8 MS. HOOVER: Amy, you had a comment.

9 DR. KYLE: I never fully grasped why we have to
10 group things by endpoint or mode of action, you know,
11 like -- it seems to me it's relevant to know even how many
12 out of those that were tested were found, you know, a
13 metric like that.

14 I think it's because when you do risk assessment,
15 you have to have a dose response metric, right? And so
16 therefore, that's why you always think about well it has
17 to have the same response in order to look at them
18 together, is that why?

19 Because it seems to me it's relevant either way.
20 You know, even if you're testing 10 things and they're all
21 different, I still -- I still think it's relevant to know
22 whether you have 7 or 3 of those. And so, you know, I
23 don't -- I mean, I guess I would start with the
24 simple-minded metric that looks at kind of the
25 distribution of what you tested for, what number were

1 reported in different -- in your study.

2 I get that there's -- that you don't -- that
3 you're on a different track, but I'm not completely sure
4 why. So maybe I'm missing something here.

5 MS. HOOVER: Well, Lauren, I mean, you had wanted
6 to talk about multiple chemicals, so maybe you could give
7 a little more.

8 DR. ZEISE: Well, I think the issue here is
9 that -- there's a few issues.

10 One is that now we know as we're doing these more
11 mixture kinds of tests that for the same endpoint, even if
12 it isn't the same mode of action, there are many examples
13 now where you test below threshold levels for individual
14 chemicals. You put them together and you get -- you Get
15 effects.

16 And so in thinking about the wide range of
17 chemicals that aren't biomonitored, as well as those that
18 are, I think it raises issues about how we think of the
19 margins of exposure in some of these comparisons. And so,
20 you know, I think, Dana, you had mentioned that, well, if
21 it's a couple orders of magnitude, you know, it's probably
22 really good, and you might -- that might give you some
23 confidence that you're safe.

24 And I think that this issue just kind of opens up
25 that question whether or not we can actually make those

1 kinds of statements. So I don't, Lesa, what's your
2 thoughts.

3 DR. AYLRWARD: Well, a couple thoughts. You know,
4 the idea of simply saying, well, I found 7 out of 10, all
5 of that is, of course, entirely driven by your analytical
6 detection limits, which vary widely across different
7 groups of chemicals and things. So Dana worked really
8 hard on certain pesticide metabolite analytical chemistry.
9 But you know the folks over in the lab who were doing some
10 of the other groups of chemicals, they didn't work as
11 hard, so their detection limit is 50 times higher or 50 --
12 maybe they worked a lot harder, and they're 50 times
13 lower, you know. Or this program has a big -- has a very
14 large sample volume available to them and so they can get
15 really outstanding detection limits, but the other program
16 gets 10 microliters after everybody else gets their share
17 of the serum, and so they have very poor detection limits.

18 So the idea of something being present or not
19 present, and particularly when you're talking about across
20 chemicals and across chemical classes, where the intrinsic
21 activity of these compounds really varies enormously, you
22 know, on a biological basis, I mean, we know that, even if
23 we can't say a whole lot about the actual consequence to
24 an individual.

25 You know, it doesn't really provide any

1 information. It's either falsely reassuring or falsely
2 worrying. We can get down to -- I love listening to Don
3 Patterson talk about, you know, where they're heading with
4 dioxin detection limits. They're heading down into the
5 yachtimols. You know, it's like really. I don't know
6 even know what a yachtimol is. Is it furry. Does it have
7 big horns?

8 (Laughter.)

9 DR. BARR: He defined it. You walk by the
10 instrument and you still get a 3 to 1 signal to noise
11 ratio without injecting anything.

12 DR. AYLWARD: Right. Exactly. So, you know, the
13 whole idea of detection is somehow a signal of interest,
14 you know, is very much driven by our analytical
15 capabilities, which continue to improve by leaps and
16 bounds. You know, those of us who have to interpret data,
17 you know, we need to send a little valentine to the
18 analytical chemist and say take a lunch break, because we
19 can't -- we don't know what to do with what you're telling
20 us anymore.

21 And so, you know, that's -- I mean, I think
22 that's a huge issue when you talk about those sorts of
23 interpretations.

24 DR. BARR: That's true.

25 MS. HOOVER: Gina.

1 DR. AYLWARD: Absolutely.

2 DR. KYLE: So I'm not sure -- so --

3 DR. AYLWARD: Well, and that's actually one of
4 the things when we talk about the Biomonitoring
5 Equivalents that we're working on. One of the things, the
6 analysis we have -- it's actually in publication right
7 now -- is looking at the detection limits. So NHANES
8 measured 40 VOCs in blood samples in the United States.
9 And for the vast majority of those VOCs, for all except
10 about 7 or 8 of them, they basically had no detections in
11 the population.

12 And so one thing that you might ask is -- the
13 first response might be, Ben Blunt you need to go back and
14 improve your detection limits on your VOC analyses. And
15 you may well want to do that.

16 But another question to ask is well, were those
17 detection limits of interest in the context of our
18 existing risk assessment. In other words, were his
19 detection limits sufficiently sensitive to provide -- to
20 measure concentrations that we would have been interested
21 in in the Biomonitoring Equivalent sense with respect to
22 our current risk assessments for those compounds.

23 And so what we were able to do is compare those
24 estimated internal blood concentrations to the detection
25 limits and say that for many of the VOCs the detection

1 limits were 10 to 100 fold below levels, for instance,
2 associated with the reference concentration.

3 And maybe that's not as good as you want in a
4 multiple chemical situation, but it does provide some
5 information about that sensitivity and give you some
6 information about what you're not seeing, as well as what
7 you're seeing.

8 MS. HOOVER: Gina.

9 DR. SOLOMON: With regard to the question about
10 mixtures. I'm not sure that mixtures really affect where
11 we'd be going, in terms of interpretation, or the
12 information -- or whether the toxicity information that we
13 have about the endpoints that are affected would
14 particularly influence anything in the Biomonitoring
15 Program.

16 But I actually would instead submit that turning
17 it around could be very informative in taking a look at
18 what chemicals are co-occurring in the population that
19 we're studying, and then starting to look at what the
20 health effects of those co-occurrences might be.

21 So it's sort of not starting with the a priori,
22 okay, let's look at all the thyroid disruptors, but
23 instead saying, okay, let's look at what's -- you know,
24 sort of do some statistical analyses about what things are
25 co-occurring at sort of elevated levels in various

1 participants. And then trying to figure out how we would
2 tackle looking at those -- you know, the cumulative health
3 risks of those.

4 Not necessarily through using Biomonitoring
5 Equivalents, but rather, you know, just sort of, you know,
6 what do we know about those chemicals? And maybe should
7 we be running them together through some of these high
8 throughput screens to see whether they have any kind of
9 effect as a group? Let's take the California mix that
10 we're seeing and, you know, run it through ToxCast and...

11 DR. BAHADORI: Gina, NTP just put out their
12 whatever common requests for input on their mixtures
13 research program. So that's actually a very good idea.
14 You might want to put that in.

15 MS. HOOVER: Okay, so we're just going to start
16 wrapping up, so -- Lauren.

17 DR. ZEISE: Yeah, one real quick follow up on
18 this is of course the problem is that with the pathway
19 kind of testing, you're only testing up one pathway. And
20 the conundrum is that we have these multiple pathways
21 involved with these different chemicals that are leading
22 to greater sensitivity for the individual chemical showing
23 up, if it's in that mixture. And essentially we're
24 exposed, of course, to a wide range of chemicals. And so
25 it's unclear to me how you would perform a high throughput

1 test of that problem.

2 DR. BAHADORI: Well, you would do -- put it
3 through multiple assays, the same way that you look at the
4 different pathways now.

5 DR. ZEISE: So the question is how do you
6 combine?

7 DR. BAHADORI: Well, but that's what Gina is
8 saying, you can statistically see -- and Mike Tornero from
9 NERL did an analysis of this in the environment. It
10 wasn't in the humans. But he looked at co-occurrence,
11 how -- there is, in fact, not an infinite combination.
12 There is a finite combination in which mixtures occur in
13 the environment. And he draws upon some ecological
14 models. Keith Solomon verified this in his studies as
15 well.

16 So the story is complicated, but not as
17 complicated as we're all afraid that it is. That there is
18 a pattern in which these mixtures occur in the
19 environment. And maybe from that, we can extrapolate how
20 exposures occur.

21 And so it's a starting point. So if you look at
22 those co-occurrences and start seeing how something like
23 ToxCast or -- not even ToxCast, but maybe some of the
24 lower throughput -- the medium throughput, lower
25 throughput. The researchy stuff can be, you know,

1 applied, you know, under -- Andreas Kortenkamp did some of
2 that. First, he did it with the traditional tox studies.
3 And then he did it a little bit with the molecular assay,
4 the in vitro studies. I mean, there are people already
5 trying this.

6 DR. ZEISE: I think that would be an avenue with
7 the more -- maybe with the more mid-range, in terms of
8 throughput rather than the --

9 DR. BAHADORI: Yeah, not the high throughput, but
10 the researchy things.

11 MS. HOOVER: And so Ruthann, I know you had one.
12 Do you have on last short comment?

13 MS. RUDEL: This is very short and just related,
14 because it's apropos to mixtures, which is just in our
15 California indoor and outdoor air from Richmond and
16 Bolinas paper that was out ES&T this past summer 2010. In
17 the supplemental info, we have a big correlation matrix of
18 all of the chemicals across with all of the other
19 chemicals, both indoors and outdoors. And it does, you
20 know, provide some interesting insights. Like, for
21 example, nonylphenol correlated with the phthalates and
22 some other, but not with the ethoxylates.

23 So it's not really coming from the APEOs anymore.
24 It's coming from other uses of nonylphenol so, as an
25 example. But we also did urine -- you know, urine

1 phthalates and found that the urine levels of most of the
2 phthalate metabolites were correlated with air and dust of
3 the parent compounds, but almost as strongly correlated
4 with air and dust concentrations of other endocrine
5 disruptors, like nonylphenol or paraben. So that provides
6 some more information about that along those lines.

7 MS. HOOVER: Okay. I just want to close here and
8 again thank all of our speakers and the audience. This
9 has been really really helpful. So thank you again for
10 coming.

11 (Applause.)

12 (Thereupon the California Environmental
13 Contaminant Biomonitoring Program workshop
14 adjourned at 5:08 p.m.)

