

MEETING  
STATE OF CALIFORNIA  
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT  
ENVIRONMENTAL CONTAMINANT BIOMONITORING PROGRAM  
SCIENTIFIC GUIDANCE PANEL

ELIHU HARRIS BUILDING  
AUDITORIUM  
1515 CLAY STREET  
OAKLAND, CALIFORNIA

FRIDAY, MARCH 16, 2012  
10:05 A.M.

JAMES F. PETERS, CSR, RPR  
CERTIFIED SHORTHAND REPORTER  
LICENSE NUMBER 10063

APPEARANCES

PANEL MEMBERS

Ulrike Luderer, Chairperson, M.D., Ph.D.

Dwight Culver, M.D.

Marion Kavanaugh-Lynch, M.D., M.P.H.

Thomas McKone, Ph.D.

Gina Solomon, M.D., M.P.H.

Michael Wilson, Ph.D., M.P.H.

OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT

Dr. George Alexeeff, Acting Director

Ms. Carol Monahan-Cummings, Chief Counsel

Ms. Amy Dunn, Safer Alternative Assessment and  
Biomonitoring Section

Ms. Sara Hoover, Chief, Safer Alternatives Assessment and  
Biomonitoring Section

Dr. Gail Krowech, Staff Toxicologist, Safer Alternatives  
Assessment and Biomonitoring Section

Dr. Laurel Plummer, Associate Toxicologist, Safer  
Alternatives Assessment and Biomonitoring Section

Dr. Lauren Zeise, Chief, Reproductive and Cancer Hazard  
Assessment Branch

DEPARTMENT OF PUBLIC HEALTH

Dr. Rupali Das, Chief, Exposure Assessment Section,  
Environmental Health Investigations Branch

Dr. Laura Fenster, Environmental Health Investigations  
Branch

Dr. Jianwen She, Chief, Biochemistry Section

APPEARANCES CONTINUED

DEPARTMENT OF TOXIC SUBSTANCES CONTROL

Dr. Myrto Petreas, Chief, Environmental Chemistry Branch

ALSO PRESENT

Ms. Nancy Buermeyer, Breast Cancer Fund

Ms. Trudy Fisher

Ms. Diana Graham

Ms. Sharyle Patton, Commonweal

INDEX

	<u>PAGE</u>
Welcome by George Alexeeff	1
Overview of the Meeting by Ulrike Luderer, Chair	2
Program Update	
Presentation: California Department of Public Health (CDPH)	5
Panel Questions	19
	32
Public Comment	34
Panel Discussion and Recommendations	34
Laboratory Update	
Presentation: CDPH Department of Toxic Substances Control (DTSC)	45
Panel Questions	65
Public Comment	72
Panel Discussion and Recommendations	73
Afternoon Session	84
Biomonitoring California Findings	
Presentation: CDPH	84
Panel Questions	93
Public Comment	100
Panel Discussion and Recommendations	102
Potential Designated Chemicals: Non-halogenated aromatic phosphates	
Presentation: OEHHA	135
Panel Questions	148
Public Comment	151
Panel Discussion and Recommendations	151
Chemical Selection Planning	
Presentation: OEHHA	159
Panel Questions	170
Public Comment	171
Panel Discussion and Recommendations	174
Open Public Comment Period	210

INDEX CONTINUED

	<u>PAGE</u>
Wrap up	217
Adjournment	219
Reporter's Certificate	220



1 it was in Sacramento on November 10th in last year 2011.  
2 And at that meeting, the Panel received updates of the  
3 program, the laboratory progress and activities, and  
4 provided input. Also, we heard presentations from the  
5 Center for Disease Control, CDC, and the Washington and  
6 New York State Biomonitoring Programs. And we discussed  
7 the issues that were of common interest to the federal and  
8 State Biomonitoring Programs.

9 We also received an update from the Maternal and  
10 Infant Environmental Exposure Project, referred to as  
11 MIEEP from our UCSF partners. And we heard the results of  
12 the Program's usability testing on the results return  
13 materials for the Firefighter Occupational Exposure  
14 Project, we call FOX, and the Panel provided input.

15 So if you would like a summary of the meeting  
16 highlights, and the Panel's input to the program, it's now  
17 been posted, so you can see it on our website,  
18 [biomonitoring.ca.gov](http://biomonitoring.ca.gov).

19 So I would now like to turn the meeting over to  
20 the chair of the Scientific Guidance Panel, Dr. Luderer.

21 CHAIRPERSON LUDERER: Thank you, Dr. Alexeeff.  
22 I'd also like to welcome everyone, all the members of the  
23 public who are here, the Panel members and the Program  
24 staff. Welcome to our meeting. I wanted to just first go  
25 over the various goals that we have for the Panel for the

1 meeting today.

2           We're going to receive program and laboratory  
3 updates and provide input on those. We're going to learn  
4 about and provide feedback on the initial results from  
5 Biomonitoring California collaborations and respond to  
6 specific questions about the program's upcoming data  
7 summary report that's projected to be completed in July of  
8 this year.

9           We are also going to consider non-halogenated  
10 aromatic phosphates as potential designated chemicals.  
11 We'll discuss a preliminary screen of bisphenol A  
12 substitutes and structurally related compounds as possible  
13 candidates for future consideration as potential  
14 designated chemicals, and provide input on next steps for  
15 that. And we'll also provide other input on chemical  
16 selection planning.

17           So as usual, each presentation will be followed  
18 by an opportunity for panel questions, a public comment  
19 period, and then time for further Panel discussion and  
20 recommendations.

21           So I wanted to go over the handling of public  
22 comment. It's a little different today because we're not  
23 webcasting. So if a member of the public would like to  
24 make a comment, he or she should fill out a comment card,  
25 which can be obtained from the staff table outside the

1 room, and then you can turn the cards into Amy Dunn -- Amy  
2 is waving her hand up there with the cards.

3           So to ensure that the meeting proceeds on  
4 schedule, and that all commenters have the opportunity to  
5 speak, we'll time the public comments, and they will be  
6 subject to time limits. So the time allotted will be  
7 divided equally among all the individuals who wish to  
8 speak during that comment period.

9           So please do keep your comments focused on the  
10 agenda topics being presented. And there will also be an  
11 open public comment period at the very -- as the last item  
12 of the day, at which any topic can be addressed.

13           I also wanted to again remind everyone to speak  
14 directly into the microphone and please introduce yourself  
15 before speaking. This is for the benefit of both the  
16 transcriber and the videographer.

17           So the materials for the meeting have been  
18 provided to Scientific Guidance Panel members, and are  
19 also available on the website to the public. And there  
20 are also some handouts and a sample of the Panel's folder  
21 at the staff table outside the auditorium.

22           We'll take two breaks today, one for lunch around  
23 12 noon, and one in the afternoon.

24           And so, now, it gives me great pleasure to  
25 announce the first agenda item, which is an update on

1 Biomonitoring California Program Activities and this will  
2 be presented by Dr. Rupali Das who is the Chief of the  
3 Exposure Assessment Section, California Department of  
4 Public Health, and is the lead of Biomonitoring  
5 California.

6 Dr. Das.

7 (Thereupon an overhead presentation was  
8 presented as follows.)

9 DR. DAS: Thank you, Dr. Luderer. Welcome to the  
10 Panel members and members of the audience. It's my  
11 pleasure to provide you an update of the program  
12 accomplishments over the last several months, since our  
13 last Panel meeting.

14 After my presentation, I'll be followed by Dr.  
15 Jianwen She and Dr. Myrto Petreas, who will provide more  
16 details on the laboratory updates.

17 Excuse me, we're adjusting the light.

18 --o0o--

19 DR. DAS: I'll be providing an update on staffing  
20 changes. Progress that's being made on three major  
21 projects: The Maternal and Infant Environmental Exposure  
22 Project, or MIEEP, the Firefighter Occupational Exposures  
23 projects, or FOX, and the Biomonitoring Exposures Study or  
24 BEST. We like acronyms in this program.

25 I'll be describing a few additional activities

1 and then briefly describing what we plan to do in the  
2 upcoming months.

3 --o0o--

4 DR. DAS: This slide shows the staff changes.  
5 Other people who follow me will be telling a little bit  
6 more about the staff. But overall, we've had five new  
7 people join our program, and one position, the  
8 Environmental Laboratory Scientist, remains unfilled, and  
9 we're working to fill that.

10 I want to tell you a little bit more about Duyen  
11 Kauffman, our results return coordinator. The other staff  
12 listed on this slide are either staff in the laboratory or  
13 in OEHHA. And other people who present will be talking to  
14 you more about those staff.

15 Duyen Kauffman joined us a few months ago. And  
16 I'd like to have her stand up and wave.

17 (Applause.)

18 DR. DAS: Duyen entered the field of public  
19 health after studying languages for many years. Her  
20 public health experience includes community development  
21 work in Vietnam, and working with Vietnamese and Hispanic  
22 communities at county clinics in Marin with a focus on  
23 women's health. She is a project coordinator focused on  
24 individual results return. And we're very happy to have  
25 her with us.

1           Next, I'd like to provide you an update on the  
2 MIEEP, also known as the Chemicals in Our Bodies Project.

3                           --o0o--

4           DR. DAS: Just to remind you, MIEEP is a  
5 collaboration with UCSF, where the PI there is Dr. Tracey  
6 Woodruff, and UC Berkeley, the PI there is Dr. Rachel  
7 Morello-Frosch. The population that we are studying as  
8 part of MIEEP is gathered as a convenience sample  
9 recruited from the San Francisco General Hospital, labor  
10 and delivery clinic.

11           We have completed recruitment and enrollment.  
12 There were 92 mothers enrolled, and 65 mother-infant  
13 pairs. I'll describe a little bit more detail about what  
14 we've done, and sample analyses. Some of them have been  
15 completed. Some of them are ongoing, and we are preparing  
16 to return the first set of results to the participants.

17                           --o0o--

18           DR. DAS: This slide shows the analyses, either  
19 completed or in the process of being completed or in  
20 progress. You'll see that we've completed the analysis of  
21 metals in blood, perfluorinated compounds or PFCs,  
22 polybrominated diphenyl ethers, or PBDEs, polychlorinated  
23 biphenyls, PCBs, organochlorine pesticides, selected  
24 brominated flame retardants. And we are in the process of  
25 reviewing phthalates and metabolites of pyrethroid and

1 organophosphate pesticides, as well as bisphenol A and  
2 triclosan. We're currently in the process of analyzing  
3 metals in urine and the hydroxylated polycyclic aromatic  
4 hydrocarbons.

5 --o0o--

6 DR. DAS: This slide shows where we were at the  
7 last Panel meeting. We showed this slide last time. The  
8 check marks depict the steps in the project that have been  
9 completed and the gray box shows what we're in the process  
10 of doing -- what we were in the process of doing at the  
11 last meeting.

12 --o0o--

13 DR. DAS: Since the last meeting, we've completed  
14 the analysis of the first set of chemicals. We have  
15 completed also the abstraction and entry of the medical  
16 records and the questionnaire data, and the translation of  
17 the materials that will be returned to participants into  
18 Spanish. And we're in the process of analyzing data and  
19 getting everything ready to return the results to the  
20 participants.

21 As you'll recall, the Program is required to  
22 return results to participants who wish to receive those  
23 results.

24 --o0o--

25 DR. DAS: In addition to returning the results to

1 participants, we are planning to evaluate some aspects of  
2 the results return. This is being done in collaboration  
3 with Dr. Rachel Morello-Frosch at the UC Berkeley School  
4 of Public Health, and is being done in two phases.

5           The first phase will assess the understanding of  
6 the participants -- their reaction to the first set of  
7 results, which will be returned shortly, and that will  
8 inform our future results return efforts.

9           And after all the results have been returned to  
10 participants, there will be another phase of evaluation to  
11 assess the understanding of participants and their  
12 reaction to the results return. And these efforts are  
13 being funded by a variety of sources, State funding as  
14 well as grants from Wellness and other sources as well.

15                           --o0o--

16           DR. DAS: At the last meeting, I had presented  
17 the one case that had an elevated blood mercury level, and  
18 had described how the program in collaboration with the  
19 County and U.S. EPA had done a home investigation and  
20 identified the source of the elevated mercury as a cream,  
21 facial cream, that was brought in from Mexico in personal  
22 luggage, and had been contaminated with mercury.

23           After that incident, and as a result of other  
24 cases that we've investigated in California, we worked  
25 with other organizations to increase awareness and see

1 what we could do to prevent more cases of mercury  
2 toxicity, as a result of use of facial creams, which we  
3 believe is more widespread than we were actually able to  
4 detect.

5           We were successful in involving U.S. FDA. Our  
6 collaboration with them began last fall. And I'm really  
7 happy to say that as a result of involving them, they  
8 issued a mercury consumer alert that came out last week,  
9 and it was broadcast nationally, alerting consumers to the  
10 dangers of skin products that contain mercury and can  
11 result in inorganic mercury toxicity. So I would count  
12 this as a public health success of the Program.

13                           --o0o--

14           DR. DAS: Next, I'd like to provide you with an  
15 update on the Biomonitoring Exposures Study or BEST. This  
16 is a collaboration with Kaiser Permanente Northern  
17 California Division of Research. And to remind you, this  
18 is -- unlike the MIEEP study, which I described, this is a  
19 stratified random sample of adult Kaiser members who live  
20 in the Central Valley. The catchment area is the blue  
21 area shown on that map. There are seven counties in that  
22 Central Valley area from which we're recruiting  
23 participants.

24           Our goal for the pilot is to recruit 100  
25 participants and we are almost there. We're currently

1 continuing to recruit, enroll, and collect samples.

2 --o0o--

3 DR. DAS: At the last meeting, we had completed  
4 the selection of eligible participants, and we are in the  
5 process of scheduling home visits, consenting and  
6 enrolling participants and collecting samples and  
7 questionnaires. And we are continuing to do those things.  
8 In addition, we are entering data and analyzing samples  
9 for blood metals.

10 --o0o--

11 DR. DAS: Since the last meeting, we have made  
12 the decision to expand this stratified random sample to an  
13 additional 200 participants. These new participants will  
14 be both English and Spanish speaking. Whereas, the  
15 previous study that I described, the pilot, recruited only  
16 English speaking participants. The expanded study will  
17 recruit Spanish speaking participants, in addition to  
18 English speaking participants.

19 And all the materials, for example the  
20 questionnaire, the results return materials and consent  
21 and everything will be developed and is being developed in  
22 both Spanish and English. These materials are currently  
23 under the review of the IRBs, both within the Department  
24 of Public Health and Kaiser.

25 Just show you the expanded BEST study.

1                   --o0o--

2                   DR. DAS: This slide describes the changes and  
3 the advantages of the expanded BEST. In addition to  
4 recruiting participants in Spanish, the expanded BEST will  
5 offer the participant the choice of filling out the  
6 consent and the questionnaire electronically. And they'll  
7 be able to access this through a secure web log-in through  
8 their Kaiser member I.D.

9                   And it will also allow us to generate a lab -- a  
10 requisition and lab collection electronically, so the  
11 participants can choose to go to a Kaiser lab of their  
12 choice and at their convenience. So we won't have to  
13 arrange a home visit to collect the samples or to  
14 collect the questionnaires.

15                   Participants will have the choice to fill out a  
16 hard copy questionnaire or consent, if they choose, but  
17 they will also have the choices to do this all  
18 electronically.

19                   The samples will all be collected at Kaiser labs.  
20 We will not give them the choice to collect samples at  
21 their homes.

22                   These modifications offer a number of advantages.  
23 Not only are we recruiting participants who represent the  
24 Central Valley, but we're also capturing different  
25 languages spoken. We're also able to expedite participant

1 enrollment. They have the ability to fill out the  
2 questionnaire and consent at their convenience. And this  
3 will result in reduced costs to the Program. The cost of  
4 sending a phlebotomist or interviewer to the home is saved  
5 by allowing the participant to fill these materials out  
6 electronically, and they can do so at their convenience,  
7 and can go to the labs to have the samples collected at  
8 their convenience.

9 We feel that this offers a number of advantages  
10 and is a really good model for sustainability for the  
11 Program's future.

12 --o0o--

13 DR. DAS: Next, I'd like to describe the FOX  
14 project. And I'm sure you do have questions, and there  
15 will be an opportunity to ask questions after I'm done  
16 presenting this next portion.

17 --o0o--

18 DR. DAS: The FOX project is a collaboration with  
19 the University of California, Irvine and the Orange County  
20 Fire Authority. This is a convenience sample, just like  
21 MIEEP.

22 We recruited 101 Orange County Fire Authority  
23 firefighters at the time of their wellness and fitness  
24 exams, which took place at the UC Irvine Center for  
25 Occupational Environmental Health Clinic.

1           We have returned the first set of results, and  
2 I'll describe the materials that we used to return these  
3 results, that consisted of blood metals and the  
4 perfluorinated compounds. We've completed the collection  
5 of checklists for each firehouse from which participants  
6 were recruited and we continued to analyze lab data.

7           Another thing that we did that was not part of  
8 the Biomonitoring California project was to collect dust  
9 at a subset of the firehouses. And that analysis is  
10 ongoing as well.

11                           --o0o--

12           DR. DAS: So at the last meeting, we had  
13 completed the recruitment of participants, enrollment, and  
14 we were in the process of analyzing chemicals, and getting  
15 ready to return results.

16           And, at this point, we've completed the analysis  
17 of the first set of chemicals and have returned the  
18 results.

19                           --o0o--

20           DR. DAS: And I'd like to go over the results  
21 return packet with you in a little bit of detail. At the  
22 last meeting, you heard from Dr. Sandy McNeel and Amiko  
23 Mayeno, our health educator, about the process that we  
24 went through to develop these results return materials.

25           We conducted a process known as usability

1 testing, where staff went down to the UC Irvine clinic and  
2 tested materials on firefighter participants, got their  
3 feedback, and modified the materials based on their  
4 feedback.

5           Not all the firefighters who provided feedback  
6 were ones who had donated samples; a subset of those, in  
7 whom we tested the materials were participants in the  
8 project. The packet that they received in January  
9 consisted of the following materials.

10           And I want to say that this results return  
11 package is something that we feel is a significant  
12 achievement of the Program. This is first time the  
13 Program has returned results to participants. It is, I  
14 feel, highly understandable, and it took a great amount of  
15 work to generate this simple set of materials. Simplicity  
16 is not easy, and this was the product of hard work on the  
17 part of a lot of people. The process was led by Amiko  
18 Mayeno. And so I want to acknowledge her efforts.

19           (Applause.)

20           DR. DAS: But staff from the entire program were  
21 involved, including staff from OEHHA and other staff as  
22 well. So everybody really deserves a big round of  
23 applause for that.

24           The packets that you have in your hands, Panel  
25 members have in their hands, and I believe there's a copy

1 available to look at in the -- outside on the desk, and  
2 it's also available on the website, consists of the  
3 following materials:

4           A cover letter; a fact sheet that is focused on  
5 the firefighters and describes why we studied  
6 firefighters; and the mock chemical results for each set  
7 of analytes that were returned to the firefighters. And  
8 it's mock, because this is an example of the individual  
9 results return packet. There's no individual level --  
10 actual individual level results in here. And the group  
11 data, the data that is meant to represent the FOX group is  
12 also not real. The NHANES data that is in this packet is  
13 real.

14           The participants who received the packages, of  
15 course, did receive the actual data. I'll be going over  
16 each individual component of this next.

17                           --o0o--

18           DR. DAS: The FOX fact sheet describes for  
19 firefighters why we chose that group to study. And this  
20 was developed because firefighters wanted to know why they  
21 were being studied, and how they played a role in the  
22 entire Biomonitoring California project. This described  
23 why we studied them, what we can learn from studying this  
24 group, and provided some positive messages for  
25 firefighters.

1                   --o0o--

2           DR. DAS: We have a section that presents results  
3 for the metals that were analyzed as part of FOX, the  
4 blood metals, lead, mercury, cadmium, and manganese. We  
5 have a brief description of -- a one to two sentence  
6 description of the metal, where it is found in the  
7 environment, the test results, the individual results, the  
8 range within the study, and the national levels, the data  
9 from NHANES, the median, the 95th percentile. And if  
10 there is a level of concern for the particular compound,  
11 that is presented as well.

12           The packet that you have is the packet that was  
13 returned to the male firefighters. Women have a different  
14 level of concern, and so women who received their package  
15 got a different set of comparison data.

16           And the narrative describes a little bit more  
17 about the table that -- the table is presented for people  
18 who like to receive the data in tabular format. The  
19 narrative then describes a little bit more about that data  
20 and how the results can be compared to other data.

21                   --o0o--

22           DR. DAS: The perfluorinated chemicals, PFCs, are  
23 presented in a slightly different format. We did not --  
24 because there are so many PFCs, 12 PFCs, that were  
25 analyzed, we put all of them in one table. And unlike the

1 metals, there aren't levels of concern for the PFC's, and  
2 so we felt it would be best to present all the data, but  
3 to put them all in one table. So the PFC table looks a  
4 little bit different than the metals table.

5 --o0o--

6 DR. DAS: There's a national level, a median and  
7 a 95th percentile, but no level of concern in the PFC  
8 table.

9 --o0o--

10 DR. DAS: After the data, there are the FAQs, the  
11 frequently asked questions. This is just an example from  
12 mercury. The FAQ sheet describes where mercury is found,  
13 the known effects on people's health, and what can be done  
14 to reduce exposure to mercury, as well as websites the  
15 person can go to to get more information.

16 --o0o--

17 DR. DAS: Similarly, we have information for the  
18 PFCs.

19 --o0o--

20 DR. DAS: After the frequently asked questions,  
21 we have graphical depiction of the results for your  
22 packet. Again, these are mock results not real. And on  
23 this slide, I'm presenting an example of a PFC and a  
24 metal. On your left, there's a graphical representation  
25 of PFOA, one of the PFCs, and it contains the individual's

1 level and the level within FOX, as well as the national  
2 level, but no level of concern. Again, because there  
3 isn't any for the PFCs, for the heavy metals where there  
4 are levels of concern, we present that in graphical format  
5 as well.

6 --o0o--

7 DR. DAS: And at this point, I would like to  
8 answer any questions you may have either on the FOX  
9 results return material or anything else I've spoken about  
10 so far.

11 CHAIRPERSON LUDERER: Are there any questions  
12 from any Panel members?

13 PANEL MEMBER SOLOMON: Yes. This is Gina  
14 Solomon. Fantastic presentation. Very impressive  
15 progress since the last meeting. So I must say I'm very  
16 impressed.

17 I have actually a number of questions. A couple  
18 are around the expanded BEST study. Two things about the  
19 questionnaire. One is how would participants who don't  
20 have easy, you know, Internet access obtain a paper copy  
21 of the questionnaire and return it? What's the mechanism  
22 for doing that?

23 And then the other is, is there a way to access  
24 the questionnaire and fill it out on a smart phone or  
25 other mobile device, instead of on a computer, because I

1 think that might be more -- might be easier for some  
2 people.

3 DR. DAS: Thank you, Dr. Solomon for  
4 acknowledging our efforts on the materials. In answer to  
5 your questions the participants can choose to fill out  
6 materials in hard copy, basically by -- it's built into  
7 the recruitment process. We initially -- once the  
8 eligible participants are selected, they're sent a  
9 postcard. And they're asked to return it if they don't  
10 want to participant or if they have a specific request.  
11 So if they send the postcard back and request to be  
12 contacted or to participate in hard copy, we would do  
13 that. If they don't respond, we then contact them. And  
14 then during that process, they can either choose not to  
15 participate or they can choose to participate in and fill  
16 out the materials in hard copy.

17 So that's basically the process that occurs.  
18 They're contacted in some way and indicate a preference to  
19 fill out the materials in hard copy. With regards to  
20 question about the ability to fill out these materials on  
21 a smart phone, that's an excellent idea. And that's  
22 definitely something we will take away.

23 At this point, it's not an option because of the  
24 security concerns. And so we haven't explored that. But  
25 as the Program moves forward, I think that's definitely

1 something that we should think about. So we'll  
2 definitely -- we'll consider that.

3 I should mention that it's Kaiser staff who are  
4 developing the electronic questionnaire and putting in  
5 considerable in-kind efforts to do that. So we will --  
6 some of the restrictions on the ability to fill out the  
7 questionnaire electronically will depend on what their IRB  
8 and their system allows us to do, but we'll definitely  
9 take that suggestion back.

10 CHAIRPERSON LUDERER: Dr. Wilson.

11 PANEL MEMBER WILSON: Thank you. I just want to  
12 second Gina's remarks about, I think, the materials  
13 particularly -- as you said, the FOX translation materials  
14 are excellent. They're, you know, just very readable,  
15 just transparent. And I just had a couple questions on --  
16 one is on the FOX packet, if there's -- if you're  
17 contemplating in the frequently asked questions section or  
18 somewhere in the beginning that describes in fairly, you  
19 know, clear language, simple language what the 95th  
20 percentile is, what micrograms per liter means -- or, I  
21 think it's -- yeah, micrograms per liter, the median, just  
22 for interpretation.

23 DR. DAS: Yes. Thank you for that comment. And  
24 thank you for the compliment on behalf of the Program.  
25 With regards to explanations, if you would look at one of

1 the results page. For example, your mercury lab results,  
2 we had to balance describing things simply with brevity.  
3 So what -- we have attempted to do what you're referring  
4 to. So there is a bullet under What Can I Compare My  
5 Levels To? National levels, we have defined median and  
6 95th percentile. And a lot of work was put into trying to  
7 develop language that was both easily understandable as  
8 well as brief. And this is the way that we've attempted  
9 to describe it.

10 So the median is described as half the adults  
11 tested in the U.S. had a level above the median and half  
12 below. That's for the NHANES. And the 95th percentile is  
13 described as 95 percent of adults tested in the U.S. had a  
14 level below this number. Again, that refers to the NHANES  
15 numbers.

16 PANEL MEMBER WILSON: Thank you for pointing that  
17 out. That's actually pretty clear.

18 (Laughter.)

19 PANEL MEMBER WILSON: I don't know if it would be  
20 helpful to add the micrograms per deciliter of blood or if  
21 that would be useful.

22 DR. DAS: You're right. That is something we  
23 didn't define, and we could certainly consider defining  
24 that in the future, for future results return packets.

25 PANEL MEMBER WILSON: And my other question was

1 on the MIEEP -- or on the Kaiser study in the Central  
2 Valley. This is our first one where we're working toward  
3 a representative sample. And I'm just curious about the  
4 sample size for those counties that was selected at 100,  
5 and so now we're going to go to 300. And do we know what  
6 the response rate needs to be for that to be  
7 representative?

8 DR. DAS: You're right. This is the first  
9 representative sample that we've recruited. This is  
10 representative of the Central Valley counties, the seven  
11 counties that comprise that area. And we're starting with  
12 100 and expanding to 200, so 300 total. In terms of  
13 response rate, Kaiser has such a large population who are  
14 members that we will continue to recruit until we have  
15 100. That's the intent.

16 So it's -- the response rate, I think, varies by  
17 county. But what we do is if we aren't successful in  
18 recruiting a participant on one attempt, we will continue  
19 to pick from the eligible participants who have been  
20 selected on the stratified sampling scheme that was  
21 developed originally.

22 And the number of participants, the 100 and the  
23 200, were chosen based on our resource availability, and  
24 not based on the ability to represent the counties. So  
25 does that answer your question?

1           PANEL MEMBER WILSON: Yeah. Well, if I could  
2 follow it up. And then is the idea that we could expand  
3 from that, at some point, if there are resources I  
4 suppose, for a larger area within California?

5           DR. DAS: Yeah. Certainly, we would -- these are  
6 both pilots, even though we're calling one pilot and one  
7 expanded. We were successful in conducting the pilot, and  
8 so we decided to expand the number of individuals within  
9 that same region, but the idea would be to consider  
10 expanding to other areas of California or a larger area of  
11 California.

12           We would be interested in getting the Panel's  
13 input into what we should consider doing as we think about  
14 expanding the collection of a representative sample of  
15 Californians, whether it be expanding to another  
16 geographic region or to more participants within the same  
17 region or to focus on certain ethnic communities or  
18 languages spoken. But the idea is to use this as a model  
19 to efficiently use resources to collect a larger  
20 representative sample in more areas of California.

21           PANEL MEMBER WILSON: Right. Okay. Great.  
22 Thank you.

23           CHAIRPERSON LUDERER: Thank you. I also really  
24 want to applaud the Program for all the progress that you  
25 have made on the MIEEP, the BEST and the FOX studies,

1 especially also want to applaud you for the collaboration  
2 with the FDA and getting that alert out, because I think  
3 that really is important. It's a nationwide program and  
4 not a -- problem and not just a California problem. And  
5 also on the results return materials and on returning the  
6 first set of results to participants. It's very, very  
7 exciting, and a huge amount of effort involved.

8 I also wanted to just ask a question about the  
9 BEST with the -- actually two questions about the first  
10 100 and the second 100 and the expanded study. So are  
11 those data then going to be combined for analysis from  
12 those -- the two different groups, the initial BEST and  
13 the expanded BEST?

14 DR. DAS: We're still under -- considering what  
15 we're going to do in terms of analysis, but they can be  
16 combined, because the -- they are the same population.  
17 The recruitment methods were the same. The common  
18 elements between the two are that they are all Kaiser  
19 members and the same recruitment criteria were used. The  
20 difference is that the language is -- the languages spoken  
21 basically are different.

22 So we haven't decided, but that certainly is  
23 something that we can consider doing. And so that  
24 definitely strengthens our ability to draw conclusions  
25 when we have a larger population size.

1           CHAIRPERSON LUDERER: And then do you have a  
2 sense of what the -- or do you know what the response rate  
3 was among the first 100 and how many people had to be  
4 contacted in order to get that 100 participant?

5           DR. DAS: It really varies by county. Certain  
6 counties had a higher response rate. And I don't have the  
7 numbers off the top of my head. I'm looking at Laura who  
8 may have some information. I don't have those, because  
9 there's seven counties, and the response rate really  
10 varied by county. That's something I can get, but I don't  
11 have that with me at this point.

12           We can certainly get back to you on that -- those  
13 numbers this afternoon.

14           CHAIRPERSON LUDERER: Are there any other -- yes,  
15 Dr. Solomon.

16           PANEL MEMBER SOLOMON: Just to add one more  
17 commendation to the list. I really appreciate the fact  
18 that the program expanded the BEST study to include  
19 Spanish-only speaking people. That was a recommendation  
20 from this Panel, and thank you very much for taking it and  
21 moving forward on it.

22           And a question on all of these timelines. The  
23 timelines end with an evaluation survey, but it's -- that  
24 begs the question of what about public release of the  
25 results and publication of the findings, which are

1 extremely important steps for the program. And I was  
2 wondering how things are moving forward on those aspects  
3 of the data release.

4 DR. DAS: Yes. Thank you for pointing that out,  
5 Dr. Solomon. We will include those steps in this  
6 timeline. That is certainly part of our plan. Everything  
7 we generate, all the data we generate as part of these  
8 projects will be publicly released, and we'll include that  
9 in the timeline. And we certainly have plans to publish  
10 the data.

11 We are planning to publish data from each of  
12 these projects. And efforts are underway for the FOX  
13 project, because we have returned results. And as we  
14 return the results and post them publicly, we are also  
15 planning to develop publications and submit.

16 So I'll make sure that at the next meeting we  
17 have those documented that we are actually considering  
18 doing those steps as part of our timeline.

19 PANEL MEMBER SOLOMON: And Gina Solomon again.  
20 Just to follow up on that. I think it would be important  
21 for the program, in terms of showing success, to make sure  
22 that as soon as, you know, feasible. Now, that there are  
23 results to really push to try to get them out there,  
24 because it's going to be an important demonstration to the  
25 State and to, you know, all of the funding entities that

1 the program is doing amazing work.

2 DR. DAS: Thank you.

3 CHAIRPERSON LUDERER: Dr. Culver.

4 PANEL MEMBER CULVER: Yeah, I wanted to echo  
5 everything good that has been said about this effort.

6 DR. DAS: Please speak into your microphone.

7 PANEL MEMBER CULVER: I said I want to echo  
8 everything that has been said good about what you've been  
9 doing, especially the development of materials to provide  
10 feedback to participants in the studies, and those who  
11 have provided samples.

12 I, in the early days of our meetings, voiced a  
13 concern that this was a very important part. And I was a  
14 little bit concerned that I didn't see that happening, but  
15 it has happened to an extent that is far greater than I  
16 really thought possible. It's really a beautiful piece of  
17 work, the package that is sent back to participants.

18 I have one question, or maybe it's a request for  
19 education. In the lead information, lead effect on blood  
20 pressure is mentioned as one of the things to be concerned  
21 with. And it's my understanding that there is about a one  
22 to two millimeter increase, millimeter of mercury increase  
23 in systolic pressure for each doubling of the blood lead  
24 level. And that that effect diminishes as blood lead  
25 levels increase. And I wondered is there a public health

1 concern about blood pressure in lead exposed populations?

2 DR. DAS: I believe the data is of public health  
3 concern. And our intent in describing the health effects  
4 in these materials were to let the public know about the  
5 most salient and significant findings for those chemicals.  
6 And so we did have to make some choices about what we  
7 presented.

8 Lead has been associated with increased blood  
9 pressure. And we've included it, because we felt it was a  
10 potential concern, both to individuals and to public  
11 health.

12 PANEL MEMBER CULVER: I'd like to be -- hear that  
13 explored even further sometime.

14 DR. DAS: Could I ask for clarification. You'd  
15 like to see the effects of lead on blood pressure  
16 explored?

17 PANEL MEMBER CULVER: Yes, but I'm not sure that  
18 this is really the responsibility or the province of this  
19 Panel at all. It would be an interesting academic  
20 exercise some day.

21 DR. DAS: Thank you.

22 CHAIRPERSON LUDERER: We'll have additional time  
23 for Panel discussion at the end. So unless there are some  
24 additional clarifying questions now, would you like to  
25 finish your presentation.

1 DR. DAS: Sure.

2 CHAIRPERSON LUDERER: Thank you.

3 DR. DAS: Yes. Thank you. There will be -- I  
4 just want to clarify that these were questions about my  
5 presentation to date, and I just have a few more things to  
6 tell you about, and that is additional program activities  
7 that we're engaging in.

8 In addition to conducting the projects, analyzing  
9 the chemical levels and returning results, we're doing a  
10 number of other things to grow and build the Program.

11 One is to distribute a survey asking about  
12 environmental health priorities to the California local  
13 health officers. Each county in California has a health  
14 officer, and they also have a director of environmental  
15 health. And we would like to get their input into their  
16 environmental health priorities in their local  
17 jurisdictions, and to involve them and to be of service to  
18 them and make sure that we're responding to their needs.  
19 And so we're going to be distributing the survey this  
20 summer.

21 In addition, our statute requires that we issue a  
22 data summary report every two years, and we plan to do so  
23 this July. We'll be speaking more about efforts that will  
24 help this data summary report this afternoon.

25 And finally, we are in the process of finalizing

1 our progress report to CDC describing what we've  
2 accomplished this last year, which is the third year of  
3 our five-year CDC cooperative agreement, and submitting a  
4 proposal for the following year, year four, and that's due  
5 on March 30th.

6 --o0o--

7 DR. DAS: One thing that's not on this slide that  
8 I wanted to mention, hot off the press and so hot that  
9 it's not even on the slides, is that we have printed a  
10 brochure in Chinese. Now, you have -- you've seen the  
11 brochure in English and Spanish. And we printed it in  
12 Chinese. And we just got copies yesterday thanks to  
13 Amiko. And I wanted to pass out some copies to the Panel  
14 members. There are also, I think, some copies out front  
15 for those of you who wish to get them. And we'll be  
16 printing more of these for public distribution in the near  
17 future.

18 --o0o--

19 DR. DAS: We have also issued a Request For  
20 Information. We described that we were going to do this  
21 at the last panel meeting. The Request For Information  
22 was issued in December 2011, and it went to researchers  
23 who have collected samples on California residents. And  
24 we are eliciting their proposals for Biomonitoring  
25 California labs to analyze selected analytes.

1           The criteria for selection were specified in the  
2 announcement that went out in December. And the period  
3 for submission has ended. We have received eight  
4 proposals, and we're in the process of reviewing them and  
5 hope to select some successful collaborations in the near  
6 future.

7                           --o0o--

8           DR. DAS: And once again, I'd like to thank the  
9 many staff who are part of this program and contribute  
10 time. And what I presented now and what you're going to  
11 hear in the next couple presentations are really a  
12 reflection of everybody's contribution.

13                           --o0o--

14           DR. DAS: And I would also like to acknowledge  
15 our many collaborators who are -- including the Panel  
16 members, who allow us to be successful in this program.

17                           --o0o--

18           DR. DAS: And, at this point, I think we can take  
19 more questions if there are any.

20           CHAIRPERSON LUDERER: Dr. Solomon.

21           PANEL MEMBER SOLOMON: Yes, a very impressive  
22 presentation. Thank you.

23           Can you give us an update on the funding for the  
24 program, where that stands at the moment. You mentioned  
25 the CDC grant, but I know that there are a number of

1 different funding sources, and I didn't see a slide on  
2 that, so it would be great to get an update.

3 DR. DAS: Our CDC funding so far is stable. We  
4 have received notice that for year four, which 2012-13,  
5 our funding will remain level, that is 2.6 million a year.  
6 As of right now, the Program specific funding continues to  
7 be the TSCA, the Toxic Substances Control Act, which is  
8 the fee-based fund collected by the Department of Toxic  
9 Substances Control.

10 As we receive updates on the funding source,  
11 we'll let the Panel members know. But the funding  
12 sources, as of right now, remain the same as they were in  
13 the past.

14 CHAIRPERSON LUDERER: Dr. Wilson.

15 PANEL MEMBER WILSON: Thank you, Chair. Do you  
16 have a sense on the firefighter project, when the compiled  
17 results would be made available.

18 DR. DAS: Our intent is to -- our goal is to  
19 present them this year to the public and to the Panel.  
20 What we would like to do is to be able to more fully  
21 analyze the questionnaire data in relation to the analyte  
22 data. And so we feel that would be the most helpful and  
23 educational, because we have collected questionnaire data  
24 and we have not completed the analysis. We feel that the  
25 data would be much more understandable and explainable if

1 we were to present both the analyte levels as well as the  
2 questionnaire data to put those results in context.

3 PANEL MEMBER WILSON: Great. Thank you.

4 CHAIRPERSON LUDERER: Before we have additional  
5 Panel discussion, we did allot 10 minutes for public  
6 comments or questions. Do we have any requests?

7 Okay. Great. Thank you.

8 Then we have some more time then for Panel  
9 discussion related to the presentation.

10 Maybe I can start off. I did have some  
11 questions about the FOX return results materials, and  
12 specifically whether you've heard back from any of the  
13 participants with questions about their individual  
14 results. One thing that occurred to me as I was looking  
15 through the packet, was I was wondering whether there were  
16 any questions in particular about the graphs that showed  
17 the individual's result in comparison to the median?  
18 Whether there were, you know, perhaps any concerns if  
19 their levels were above the NHANES median?

20 You know, you also gave the 95th percentile  
21 NHANES value in the table, but that wasn't in the graph.  
22 I was wondering if maybe there was any confusion about  
23 that or any other aspects?

24 DR. DAS: The participants received their results  
25 in January, and we have not actually received any calls

1 from participants, specifically asking about the  
2 interpretation of the results. And the firefighters most  
3 likely would call Dr. Israel, because she is -- she's the  
4 person who runs their Wellness Fitness exam, and they know  
5 her from the time she's spent with them or over the years.

6 She has not received any calls, and so we haven't  
7 received any inquires. That doesn't necessarily mean that  
8 they understand the results, and aren't confused. We  
9 haven't done a specific focus group with the participants  
10 at this point to understand whether they have any  
11 questions, but they have not been forthcoming and  
12 volunteered any questions to us.

13 CHAIRPERSON LUDERER: Any other Panel discussion?

14 Dr. Solomon.

15 PANEL MEMBER SOLOMON: I'd just like to hear a  
16 little bit more about the dust sampling aspect of the FOX  
17 study, what -- where that stands and what the plan is for  
18 releasing that information or communicating that back to  
19 the participants or, you know, how that fits into the rest  
20 of the things that you talked about?

21 DR. DAS: The dust sampling was environmental  
22 measurements that we decided to do separate from  
23 Biomonitoring California, because Biomonitoring California  
24 is really focused on human biological samples. The  
25 funding was obtained from sources outside any that I've

1 described to you here, but it is something that we felt  
2 was really important to understand the individual levels.

3           We were able to conduct the dust sampling,  
4 because we had the blessing of the Orange County Fire  
5 Authority. We made it clear at the time that we -- our  
6 intent was not necessarily to link the dust samples to  
7 individual levels.

8           However, it is something that we plan to look at.  
9 And I'm pretty sure that OCFA will want us to do that.  
10 We'll have questions about the interpretation of the dust  
11 samples. Our participants may have questions about how  
12 dust samples relate to their individual levels.

13           Our plan, at this point, is to present the  
14 results of the dust samples, and the aggregated results to  
15 OCFA, the oversight committee that allowed us to conduct  
16 the study.

17           And the dust sampling was conducted in Dr. Myrto  
18 Petreas' lab. And she and a graduate student are working  
19 on the analysis, and the presentation. And we will be  
20 describing that as the results proceed, and as the  
21 analysis goes forward.

22           CHAIRPERSON LUDERER: Dr. Solomon.

23           PANEL MEMBER SOLOMON: One more question. At a  
24 prior meeting, a firefighter from the San Francisco Fire  
25 Department came and made a plea for expanding the FOX

1 study to include other fire departments. Is there any  
2 plan or thought about doing that, at this point, or is it  
3 pretty much just going to be the Orange County one and  
4 that's all for now.

5 DR. DAS: You're referring to Tony Stefani who  
6 was a former firefighter in San Francisco Fire Department.  
7 I've had several conversations with staff at the San  
8 Francisco Fire Department. There are certain staff there  
9 who are interested in the collaboration. At this point,  
10 our limitation is our resources. It is something that the  
11 Program is interested in considering as resources become  
12 available. And we'll continue to actually have  
13 conversations with San Francisco particularly, because  
14 they have reached out to us.

15 In addition to Tony Stefani, we've been having  
16 some conversations with others at the fire department to  
17 explore how we can collaborate in the future. At this  
18 point, the Program does not have resources to plan a  
19 specific study with another fire department, but it is  
20 something we remain interested in considering for the  
21 future.

22 CHAIRPERSON LUDERER: Are there any other  
23 comments, questions from other Panel members?

24 Some other areas of discussion, maybe we could  
25 move on and talk a little bit about the BEST study, if we

1 get some feedback from the Panel about that study. I was  
2 very excited about the expansion of the study. That's  
3 really wonderful.

4           And I had a couple of questions related to that.  
5 One of them is, are there any plans to add -- to expand in  
6 terms of analytes for the study, in addition to expanding  
7 the number of participants? And perhaps you could just  
8 refresh our memory on what the planned analytes are for  
9 the BEST study.

10           DR. DAS: The analytes we're currently planning  
11 for the BEST study are the same as the ones in your -- in  
12 the presentation are the ones listed for MIEEP. So all  
13 the analytes that we have the ability to analyze are the  
14 ones that we're planning to analyze for BEST at the  
15 current time.

16           CHAIRPERSON LUDERER: And then in the first 100  
17 that have been completed already, was the sample  
18 collection there, was that done at the individual's homes  
19 rather than through the Kaiser order system, is that one  
20 of the differences?

21           DR. DAS: Yes, that's correct. One of the -- the  
22 sample collection for the first 100 was done either at the  
23 participant's home or at a location that was arranged  
24 based on their convenience. So in some cases it was their  
25 workplace. But it was not done through an electronic

1 order system and collected at that Kaiser lab facilities.

2 CHAIRPERSON LUDERER: So then will there be a  
3 laboratory related issues, in terms of, you know, sample  
4 handling, quality control with the field kind of collected  
5 samples versus the laboratory samples, or maybe that's  
6 something that can be better addressed during the next  
7 presentation by the laboratories?

8 DR. DAS: That is one of the differences in the  
9 handling of the lab -- of the biological samples. The  
10 first 100 will have been collected in the field. We've  
11 developed very extensive detailed sample management  
12 protocols to ensure that the quality of the samples is  
13 maintained, even though they're collected in the field.  
14 And perhaps at a future presentation we can describe what  
15 was done in the field collection, because that is  
16 different than MIEEP and FOX. Those were collected in the  
17 clinic.

18 And so that is one of the differences, but I feel  
19 like because of the quality assurance and quality control  
20 measures, it will likely be a minor issue, but you're  
21 right that is a difference in the way the samples are  
22 collected.

23 CHAIRPERSON LUDERER: Certainly something that  
24 would be easy to evaluate once you have the analyzed  
25 levels.

1 DR. DAS: Yes.

2 CHAIRPERSON LUDERER: Dr. McKone.

3 PANEL MEMBER MCKONE: Yeah. I wanted to follow  
4 up a little bit more on the BEST -- it's a small -- it's a  
5 pilot, right, so it's a small sample. And it is  
6 stratified. Do you have a little insight on what the  
7 stratification is in terms of urban, rural -- are you  
8 trying to capture gender probably, but other issues like  
9 urban versus rural, because there are urban areas in this  
10 study area, and economic class other factors?

11 DR. DAS: Yes, we did -- sorry, I didn't describe  
12 it in this presentation. We've described it in the past.  
13 There are four levels of stratification, urban, rural,  
14 gender, age, and ethnicity, four different ethnicity  
15 groups, Caucasian, non-Hispanic/Hispanic,  
16 African-American, and Asian. So the four levels of  
17 stratification.

18 PANEL MEMBER MCKONE: I get -- well, one  
19 follow-up then. I mean, these are not going to be well  
20 populated in terms of power, so it will give you some  
21 insight, but at this point probably not a lot we can do in  
22 terms of actually distinguishing differences among those  
23 stratifications.

24 DR. DAS: That's correct, each -- because of the  
25 four levels of stratification, we'll only have a few

1 participants in each category that we finally end up with.  
2 The purpose of this pilot was really to test our ability  
3 to recruit participants and to collect samples for this  
4 kind of a representative sample. And with the additional  
5 participants in the expanded BEST, we hope to gain a  
6 greater ability to make some generalizations.

7 But, you're right, that it would be -- we will  
8 have less ability to generalize based -- in each  
9 individual group, based on just the initial 100.

10 PANEL MEMBER MCKONE: And if you don't mind my  
11 following up. I'm just very interested in this.

12 In the pilot -- I mean, one of the concerns might  
13 be that the pilot study ends up with lots of non-detects,  
14 right? Is there a -- are you -- is that something that  
15 you're looking for and thinking about what protocol  
16 changes might be required. For example, if, for certain  
17 substance, you're getting only two or three hits out of  
18 all 100 participants, that really raises concerns about  
19 whether it's worth scaling that up to a larger population.  
20 Is that part of the protocol or the process?

21 DR. DAS: Well, I would invite the laboratories  
22 to answer that. But I would say that if we do find that,  
23 we'll certainly look at what factors might be responsible.  
24 We do have our ability to compare with the MIEEP and FOX.  
25 So if the non-detects in BEST look different than the

1 non-detects in other populations, then certainly we would  
2 explore what factors accounted for that.

3           On the other hand, if there are non-detects  
4 across the different populations, then it may not be a  
5 laboratory method. I mean, it may not be the sample  
6 collection method. There might be other factors. But I  
7 think that is something we'll discuss with the laboratory.  
8 If we find a large number of detects, what we can do to  
9 improve detection or what factors might be accounting for  
10 the non-detects.

11           Is that --

12           PANEL MEMBER MCKONE: No, that's --

13           DR. DAS: You look like you had an additional  
14 question.

15           PANEL MEMBER MCKONE: I just -- I'm bringing it  
16 up, because I think these are things that will come up in  
17 the future, and it's nice to start thinking about  
18 protocols. I mean, I guess an interesting issue would be  
19 if one, like male versus female, or one of the -- if you  
20 get non-detects completely in one group, and then 50  
21 percent detects in the others, even if you only have five  
22 samples in each, I think that's enough to raise a bit of a  
23 flag about what -- you know, it can generate a hypothesis  
24 about future work.

25           And I think we should be tracking a little bit

1 the results, you know, on the Panel, so we can put our  
2 heads together to get some insight on to how to best  
3 proceed to kind of maximizes the information we get,  
4 because even -- I'm somewhat Bayesian so I believe bad  
5 information has some powerful insights, not bad, but low  
6 power information, can give you some powerful insights, if  
7 you know how to use it effectively.

8 DR. DAS: Okay. Thank you for that input.

9 CHAIRPERSON LUDERER: Dr. Wilson.

10 PANEL MEMBER WILSON: Thank you. I wonder if I  
11 could take us back one more time to the FOX study. And  
12 it's just a follow-up question on what you're  
13 contemplating for the publication of the findings. My  
14 sense is that this is going to be of great interest, you  
15 know, nationally, and that the recommendations that are --  
16 you know, that are laid out in the packet could be  
17 expanded with a little bit more granularity around sort of  
18 the -- you know, there's a sentence in there about wearing  
19 personal protective equipment. That there could be  
20 additional information in there that would be of, you  
21 know, great utility to firefighters across the country.

22 And so I guess my question is if you're  
23 contemplating an initial publication that's in the sort of  
24 form of a research to practice kind of, you know,  
25 informational piece, as compared to a peer reviewed

1 journal, you know, with the goal of sort of getting the  
2 information out and distributing it as quickly as  
3 possible, you know, before a peer review process goes in,  
4 you know, through a journal and so forth. Is that  
5 something that you're considering?

6 DR. DAS: That's an excellent suggestion, Dr.  
7 Wilson. We had been planning a peer reviewed publication,  
8 but I think that you're bringing out a point that we  
9 should consider, and that is putting out something that is  
10 more practical in a research to practice type publication  
11 that can get out there quickly is certainly something we  
12 can consider.

13 I think the audiences for the two publications  
14 are quite different. And you're referring to a  
15 firefighter audience and other professionals who would be  
16 responsible for the health of firefighters. So that's  
17 something we will go back and consider.

18 PANEL MEMBER WILSON: Yeah, exactly. And I  
19 think, you know, sort of depending on how the results come  
20 out, I think it could have a, you know -- it potentially  
21 could have a fairly immediate effect on work practices,  
22 and as well as some of the technologies that firefighters  
23 are using for personal protective equipment and so forth.

24 You know, so these are sort of -- these have been  
25 questions that have lingered in the fire service for a

1 long time. And these are important findings that will  
2 contribute to that -- you know, that -- a dialogue and  
3 sort of controversy in various ways as well.

4 And I would be happy to help with that as well in  
5 the research to practice. You know, if it's something  
6 that the program is interested in doing, I'd be happy to  
7 help with that.

8 DR. DAS: Thank you. We may take you up on that.

9 CHAIRPERSON LUDERER: All right. We are running  
10 a little bit behind schedule here, so thank you again very  
11 much, Dr. Das for that overview about all the exciting  
12 achievements that the program has had and since our last  
13 meeting.

14 So the next item on the agenda is the laboratory  
15 update. And I'd like to introduce first Dr. Jianwen She,  
16 Chief of the Biochemistry Section in the Environmental  
17 Health Laboratory Branch at the California Department of  
18 Public Health.

19 Dr. She.

20 (Thereupon an overhead presentation was  
21 Presented as follows.)

22 DR. SHE: Thank you, Dr. Luderer. And good  
23 morning, everyone, and good morning Scientific Guidance  
24 Panel members. I'm happy to update you with the  
25 Environmental Health Laboratory's progress since our last

1 meeting.

2 --o0o--

3 DR. SHE: First, it is my pleasure to introduce  
4 to you three of our new staff. We recently hired Alanna  
5 Viegas as our Sample Management Specialist. She replaced  
6 Josie Alvaran, who is working for our lead program right  
7 now. Alanna come to us from the biotech ward. And she is  
8 familiar with various analytical instruments.

9 Dr. Wei Zou was hired as a Research Scientist II,  
10 to replace Dr. Robert Ramage. He will work on a PAH  
11 method and PAH sample analysis. We hired Dr. Wei from  
12 DTSC, not Myrto's group, from a different group.

13 (Laughter.)

14 DR. SHE: And he brings expertise with many  
15 advanced instrumentation for both organic and inorganic  
16 analysis.

17 Dr. Ryszard Gajek has joined the CECBP as a  
18 supervisor -- supervisor of the inorganic unit, replacing  
19 Dr. Frank Barley. As you may remember, he was in our lead  
20 testing unit and was responsible for greatly improving our  
21 blood lead and blood metal analysis.

22 Alanna, Wei, and Ryszard may I ask you to stand  
23 up so we can welcome you.

24 (Applause.)

25 DR. SHE: Thank you.

1 I'd also like to take this opportunity to  
2 acknowledge Professor RuiFang Fan for her work. Professor  
3 Fan, who returned to her home country in China last month.  
4 Professor Fan developed PAH method and published three  
5 research papers while she worked with us. We greatly  
6 appreciate her contribution to this Program.

7 --o0o--

8 DR. SHE: In last four months, we have continued  
9 analyzing samples from various projects, validating  
10 analytical method, and developing new methods.

11 --o0o--

12 DR. SHE: At this moment, our laboratory is  
13 analyzing eight different class of chemicals. There are  
14 totally 40 compounds. I have described these chemicals in  
15 detail in my previous presentation.

16 We are also adding several more classes, as well  
17 as new chemicals, including these five methods: For  
18 example, arsenic speciation with LC-ICP-MS. The second  
19 one is the metal panel in urine by ICP-MS. We have a  
20 method able to analyze four out of six DAPs. So we tried  
21 to make this method able to analyze all of the six. So we  
22 are right now validating this new method. We are also  
23 expanding our OP specific metabolite method, and also  
24 pyrethroid metabolite method.

25 Finally, we are continue to improving our method

1 for analysis of dry blood spots and low volume of blood or  
2 serum. We expect by next meeting several of these methods  
3 will be moving into the production.

4 --o0o--

5 DR. SHE: Here I like to talk a little bit about  
6 arsenic speciation. CDC -- the second column you can see  
7 that's our detection limits. CDC reported that detection  
8 limits between 0.4 and 1.7 micrograms per liter. And then  
9 we are reach the detection limit. Also, as you can see  
10 from the stability test, this is a six weeks. We kind of  
11 called a short-term storage stability test. The chemicals  
12 are stable. And from our recovery test, our method have  
13 good accuracy. This is recovery samples from the NIST  
14 standard reference materials. We're able to recover them  
15 near the -- close or near to hundred percent.

16 --o0o--

17 DR. SHE: The methods still underdevelopment, for  
18 example perchlorate in urine, and also to increase our  
19 throughput, we like to automate the sample preparation  
20 procedures.

21 Our laboratory staff is now fully engaged with  
22 the current workload, but we expect to advance this method  
23 over the summer.

24 --o0o--

25 DR. SHE: This slide shows our sample analysis

1 details. As you saw from the previous presentation by Dr.  
2 Das, we finished the MIEEP samples analysis. Most of  
3 analytes we finished, and we are -- for all of the  
4 finished analysis, that we also finished the peer review  
5 and the quality assurance review.

6 For example, for OP specific metabolite and the  
7 environmental phenols, we are ready to approve the data,  
8 and then send it to the Program.

9 For BEST sample analysis, we finished the 62  
10 samples. And then for FOX, we finished about 40 samples  
11 of PAH and the phthalate analysis.

12 --o0o--

13 DR. SHE: At our analytical lab, we are committed  
14 to build this lab as a world class laboratory. And in  
15 previous SGP meetings, we are encouraged by Panel members  
16 to publish our analytic method. During last two years, we  
17 were able to generate 13 or 14 drafts. And then half of  
18 them are already published. Here are three examples.

19 The first method, measurement of PBDE and the PCB  
20 in a single drop of blood is already on press and by  
21 Journal Chromatograph D. Actually, we also are encourage  
22 by Professor Tom Webster. He's in the audience. And we  
23 presented it last year, you know, one of the meetings, the  
24 BFR meetings, and get a lot of critical input and  
25 encourage.

1 Thank you, Professor.

2 And next two methods, we developed brand new  
3 method for PAH analysis. They're slightly different. The  
4 first one, we use solid phase extraction. The second one  
5 we use a CDC sample extraction method by the -- combined  
6 with a brand new analytical part.

7 So we think the first method used very low blood  
8 volumes will be very significant because it's harder to  
9 collect the blood samples. And also the last two methods  
10 will be very practical of the back-up method for the  
11 traditional, the high resolution method.

12 --o0o--

13 DR. SHE: This is my last slide. We continue to  
14 finish MIEEP, FOX, and BEST sample analysis. Complete  
15 five method validation, develop method of perchlorate in  
16 urine, expand analyte list or OP specific metabolite and  
17 pyrethroid metabolite, automate our sample preparation  
18 procedures.

19 I want to thank all of the laboratory staff for  
20 their outstanding research and contribution.

21 Thank you.

22 (Applause.)

23 CHAIRPERSON LUDERER: Thank you, Dr. She. And  
24 I'd like to congratulate you and the other lab staff on  
25 all the progress you've made on method validation and

1 development, as well as all the sample measurements that  
2 have been completed, as well as on the publications.  
3 That's wonderful news. And I'd also like to welcome --  
4 offer my welcome to the new staff members. I think all  
5 the SGP members will agree that it's wonderful that those  
6 vacant positions could be filled.

7 We now -- I think we were going to move on to the  
8 second presentation and then take questions for both  
9 laboratory presentations after.

10 MS. HOOVER: Either way.

11 CHAIRPERSON LUDERER: So I'd like to introduce  
12 our second presenter, Dr. Myrto Petreas, who is the chief  
13 of the Environmental Chemistry Branch at the Environmental  
14 Chemistry Laboratory at the California Department of Toxic  
15 Substances Control.

16 Dr. Petreas.

17 (Thereupon an overhead presentation was  
18 Presented as follows.)

19 DR. PETREAS: Thank you. And thank you for  
20 finding my presentation.

21 Hello, everyone. Thank you for setting up my  
22 presentation. So it's my turn to give you an update of  
23 what's the status of the DTSC laboratory.

24 --o0o--

25 DR. PETREAS: And I will talk about our

1 resources, staffing, equipment, our capabilities on  
2 analyzing chemicals on the priority list, progress with  
3 the two major studies, FOX and MIEEP, and other activities  
4 which are not part of the program, but they are of benefit  
5 to the program. So in that sequence.

6 --o0o--

7 DR. PETREAS: First of all, we're very happy that  
8 both of our two program-funded staff are back from long  
9 leaves. So both Yunzhu Wang and Miaomiao Wang are back  
10 and happy with us and productive.

11 In addition to two program staff, we had also  
12 good news, because from the State when we had this  
13 semi-freeze, we were finally allowed --

14 MS. HOOVER: Cell phone.

15 CHAIRPERSON LUDERER: I think the interference is  
16 coming from cell phones, so maybe if we could try turning  
17 them off, we can see if we can improve that.

18 DR. PETREAS: Okay. Back to staffing again.  
19 We're allowed permission to fill two of our State  
20 positions. Out of four vacancies in the Biomonitoring  
21 Section, we're able to fill two. And the people we hired  
22 are Dr. Sissy Petropoulou as a Research Scientist III.  
23 Originally, she was funded by the CDC cooperative  
24 agreement, so she had joined us about a year ago. And she  
25 has extensive experience in LC/MS. In fact, she used to



1           In addition, we got -- I have no picture for  
2 that -- but we got a refrigerated centrifuge which is very  
3 helpful in cleaning up samples and making our background  
4 lower. So that's for the equipment.

5                               --o0o--

6           DR. PETREAS: Now, training. The new Agilent  
7 instrument, we had the first in-house training just a  
8 month ago. And then we have, as part of the package, a  
9 four-day intensive training at the Agilent facility in  
10 Georgia. And that will happen the first week of May.  
11 We're sending two staff there.

12           And we're taking advantage of this trip to  
13 Atlanta to piggyback and also visit New York Health  
14 Department, who had visited us in November here, and visit  
15 their lab. And also the CDC two-day training last week of  
16 April, just before the May. So we're trying to save on  
17 travel arrangements here, and try to get as many people  
18 trained as possible, both by visiting New York, because  
19 New York, in a way, has many things that CDC doesn't at  
20 this point. So we'd like to see how they do things, so  
21 our staff will go there. But, also, of course, CDC is the  
22 major trainer, and we're very hopeful that we get a lot of  
23 information from that trip.

24           And, of course, along with all our staff at the  
25 lab, we offer continuing education for the Program staff

1 and other staff through our seminars and APHL webinars on  
2 quality control and other issues of concern.

3 --o0o--

4 DR. PETREAS: Now, going to our capabilities. I  
5 changed the format of this slide to more or less match the  
6 report that you probably got already or will be getting.

7 So categorizing the classes for which we have now  
8 methods validated are the polychlorinated biphenyls.  
9 There are 15 major congeners and 10 of the metabolites.  
10 We have seven organochlorine pesticides. Polybrominated  
11 diphenyl ethers, PBDEs, we can measure 19 congeners and  
12 eight metabolites.

13 Other brominated or chlorinated flame retardants.  
14 We have 13 brominated and one chlorophosphorus containing  
15 compound. We have 12 perfluorinated chemicals. Phenols,  
16 I have them as a separate group, but they're a bunch of --  
17 it's -- they contain both -- I don't know if there's a  
18 pointer here. Oh good.

19 So TBBPA, tetrabromobisphenol A,  
20 2,4-dibromophenol and 2,4,6-tribromophenol are flame  
21 retardants. And aiming at those at the same time, we can  
22 do bisphenol A, BPA, in the same method. So that was  
23 something we combined.

24 And in a separate analysis, we do  
25 pentachlorophenol and triclosan. So everything is in

1 serum for that.

2 --o0o--

3 DR. PETREAS: In terms of the major studies, this  
4 the same slide I shad shown you on the previous update.  
5 And now we have completed all the MIEEP samples and  
6 returned their results to the Program for linking with the  
7 questionnaire data and so forth.

8 I should point out that we are ahead of schedule  
9 in doing the hydroxy BDEs of the MIEEP study. This was  
10 supposed to happen in the following year, but we took  
11 advantage of another study we're doing, and we're  
12 combining resources.

13 And now, so far, we have analyzed 30 of 141. So  
14 we're moving ahead with the hydroxy BDEs in the MIEEP.  
15 Everything else is completed.

16 With the FOX, we had completed the perfluorinated  
17 chemicals and it's part of the first round of results  
18 returned to the firefighters. And we started on the rest,  
19 which is the PCBs, pesticides, PBDEs and BFRs, and we're  
20 in the process of doing this analysis now.

21 And again, we are on schedule and we hope to  
22 finish the FOX within the next two months. We haven't  
23 started on the BEST. We haven't even received the samples  
24 in our lab year, but that will be our next project.

25 --o0o--

1 DR. PETREAS: Now, I'm going to talk about some  
2 other activities and try to address some of the questions  
3 were raised along the way. These are activities that our  
4 department, DTSC, is interested in funding. But at the  
5 same time, the results or the procedures benefit the  
6 program.

7 --o0o--

8 DR. PETREAS: So I want to start above with the  
9 California teachers study. This is a longstanding  
10 longitudinal study of cancer in teachers, or more  
11 correctly, females school employees throughout the State.  
12 This is a long prospective study, where I guess women with  
13 cancer are identified through the registry, and then other  
14 studies happened.

15 So on a substudy of this long big study, we're  
16 looking at on environmental exposures and that involves  
17 blood sampling. This is in collaboration with the Cancer  
18 Prevention Institute of California. Dr. Peggy Reynolds is  
19 a PI. I'm a co-PI on this substudy. And we're  
20 collaborating with UC Irvine, University of Southern  
21 California and City of Hope.

22 The study has been funded by the California  
23 Breast Cancer Research Program. And it's quite ambitious.  
24 It's a five-year study collecting -- aiming to collect  
25 blood samples from 1,300 cases and 1,300 controls of

1 teachers or female employees, school employees throughout  
2 the State.

3           So far, we have approximately 800 samples  
4 collected that are in the lab. The plan is to analyze the  
5 blood samples for PCBs, PBDEs, other BFRs, perfluorinated  
6 chemicals. In addition, thyroid hormones and lipids are  
7 done by a clinical laboratory.

8           In the lab, as we receive the samples and we  
9 catalogue them, log them into our system, we do some  
10 sample preparation where we aliquot, we divide volumes for  
11 the various analyses, and send for the lipids and thyroid  
12 hormones. And at the same time, we started doing the  
13 analysis of PFCs. So this is a long process.

14           It's not one of the biomonitoring program  
15 studies, but because of the large number of samples and  
16 the statewide recruitment catchment area, it will be of  
17 benefit to the Program overall once we get these results.

18   --o0o--

19           DR. PETREAS: Another study that we're conducting  
20 with the University of Cincinnati is looking at  
21 transplacental transfer of PBDEs and hydroxy-PBDEs. We  
22 measures PBDEs and hydroxy-PBDEs in maternal serum and  
23 cord blood. And so far, results from 20 pairs were  
24 represented at the SETAC meeting Boston in November.

25           And the interesting thing here is that this is

1 something that -- it's the same methodology that will be  
2 used with MIEEP. So we learned with this small study, and  
3 we're applying the methodology to MIEEP. And the  
4 important thing is that we can measure things. So we know  
5 that we'll have results. And I'm showing you here some  
6 results of some of the hydroxy-BDEs

7 --o0o--

8 DR. PETREAS: And this is just from the first  
9 ten. Now, we have 20, but the important thing is that  
10 they're measurable. So I'm not saying we can make any  
11 comparisons. The error bars are very big, which of course  
12 shows how the -- the variability to expect. But the  
13 important thing is our method is sensitive, and we can see  
14 them, so it will be very interesting to see how this  
15 method applies to MIEEP, which has many more participants.

16 --o0o--

17 DR. PETREAS: Bisphenol A and bromophenols. This  
18 is our newest study -- our newest method. It's very  
19 sensitive. Much more sensitive than anything that has  
20 been published so far. We do this by LC/MS. Limit of  
21 detection, as I said, is much lower than what we have seen  
22 in publications. And we just recently tested this method  
23 with some samples that we have from contemporary women and  
24 some 1960s women just to see, can we see anything?

25 And, indeed, we found measurable levels of BPA,

1 the 2,4-dibromo 2,4,6-tribromophenols. Only traces of  
2 tetrabromobisphenol A.

3 One alert that we got from our collaborators that  
4 blood collected with butterfly in the sample collection  
5 process, may show high BPA. We don't know. At this  
6 point, we're doing a blind testing with UCSF, who sent us  
7 some samples that may have been collected. We don't know,  
8 so we're reporting to them to see how well we're doing on  
9 that, so we haven't finished that part.

10 --o0o--

11 DR. PETREAS: Nevertheless, we had the poster,  
12 just three days ago, at the SOT, Society of Toxicology  
13 meeting. And this is, as I said, the poster was focusing  
14 on the validation of the method, but also have this little  
15 information here with the tested samples from the 60s and  
16 the contemporary ones.

17 Again, don't try to make comparisons about  
18 whether it's different or not. The important thing is  
19 it's measurable. And also, this shows you the relative  
20 magnitude of BPA versus the other bromophenols. So it's  
21 very encouraging and generated a lot of interest among  
22 people who visited the poster, and we have some more ideas  
23 where to go from here.

24 But I want to pause here, because when Dr.  
25 Calafat from CDC was here in November, she said very

1 correctly that having a method doesn't mean we have a  
2 biomarker. And I want you to reflect on that, because  
3 indeed BPA was not our -- BPA is measured in urine, and  
4 the vast majority of samples are in urine, NHANES is in  
5 urine. And, of course, for any prospective study, people  
6 should be planning on using urine.

7           However, the method -- BPA is a freebie on the  
8 other phenols that we measured. And we can think of many  
9 opportunities where there are no urine samples. For  
10 example, the teacher study does not have urine samples.  
11 We're not planning to do BPA in teachers, but that's an  
12 example of a large study, where there will be only blood  
13 samples.

14           And also, if we think of other archived  
15 specimens, which are serum or other -- the  
16 alpha-fetoprotein program. There are many opportunities  
17 to apply methods to measure BPA in serum. So with that,  
18 we're happy we have a method. And if it's needed, we can  
19 use it. But the focus of this was the BFRs, which is the  
20 2,4-dibromo, 2,4,6-tribromo and TBBPA, and we have a  
21 method for those.

22           --o0o--

23           DR. PETREAS: Now, I want to talk about dust.  
24 And I know there was a question about dust. For the last  
25 two years, we have been working and we have methods to

1 measure persistent organic pollutants, PAHs. And now we  
2 added new BFRs in dust.

3           So we have validated protocols to collect vacuum  
4 cleaner bags and analyzes -- receive them, analyze, and so  
5 forth. There are many ways to collect dust. And each  
6 method, I guess, is answering a different question. Here,  
7 in this study, first we did in house dust, and the same  
8 methodology we applied in the fire house dust, the FOX  
9 study, we're just getting the bags from the fire -- from  
10 the vacuum cleaners just to represent an overall  
11 integration of space and time for exposure.

12           --o0o--

13           DR. PETREAS: So with that in mind, I should say  
14 a little bit. Todd Whitehead was a graduate student from  
15 UC Berkeley. He now has his Ph.D., and he's a post-doc in  
16 our lab. And he worked with us on the household dust  
17 methodology. We used this study to -- this method to  
18 measure dust as part of the UC Berkeley Childhood Leukemia  
19 Study.

20           In that study, homes of children with Leukemia  
21 and controls were sampled -- vacuum cleaner dust, I guess  
22 the bags were collected twice. Once, originally, between  
23 2001 and 2007. And then with additional funding, and  
24 that's where we came along, in 2010, 200-something homes  
25 were revisited. So we have two samples from each house.

1           And interestingly, we haven't completed all the  
2 analysis, but we see -- in fact, we don't see any  
3 statistically significant decrease in the concentration of  
4 the penta-BDEs or the Deca-BDEs. We do see some decrease  
5 in the Octa-BDEs between the times of sampling.

6           So, at this point, we're thinking and  
7 speculating, that maybe this reflects different products  
8 and use patterns. For example, the Octa-BDEs are related  
9 to electronic devices, which is something that you more  
10 often replace. And you may be between 2001 and 2010, you  
11 had different television or cell phone or something, but  
12 your carpet and your drapes and your sofa are still there.

13           The take-home message is that PBDEs may persist  
14 in the dust for many years after the production has  
15 ceased. Another interesting side information is that we  
16 saw evidence of debromination of the Deca-BDE.

17           Originally, the thought was that Deca-BDE is like  
18 a rock. There's no problem. You don't get exposed to it.  
19 But now we see, at least with these dust samples, that a  
20 lot of the breakdown products of deca are present and they  
21 shouldn't be there.

22           So that's the work with the dust. And we're  
23 applying the same methodology, as I said, to the  
24 firefighters.

25                           --o0o--

1 DR. PETREAS: So I can tell you that I don't have  
2 data to show, but we have a validated method now for these  
3 additional BFRs. And our data so far show that they're  
4 present, both in the house dust and in the firehouse dust.  
5 So this is a new piece of information for us, because we  
6 had never measured these before. And now we have another  
7 tool to measure these compounds in dust, and adds to our  
8 environmental sampling and exposure assessment for our  
9 studies.

10 --o0o--

11 DR. PETREAS: So with that, I'll stop with  
12 questions. One thing I want -- I had a note here from Dr.  
13 McKone, you had asked the question about -- I thought the  
14 concern was not only with the statistical power to tell  
15 differences between the different cells, if you stratify.  
16 But those -- in terms of resources, why are you measuring  
17 things if something is non-detect?

18 And, at least on that point, I should say these  
19 methods, both labs are not one method, one analyte. So  
20 maybe some of the BFRs or some of the PAHs, something, may  
21 not be present in every sample, but there won't be a waste  
22 of time, because at least something would be measurable.  
23 So maybe there will be enough statistical power for those  
24 to contrast.

25 So I'll stop here, if you have any questions for

1 both of us.

2 CHAIRPERSON LUDERER: Thank you very much, Dr.  
3 Petreas. And again, congratulations on all the progress  
4 being ahead of schedule and analyzing the samples for the  
5 MIEEP and the FOX, that's wonderful news, and the new  
6 methods that have been validated.

7 We have time set aside for some clarifying  
8 questions now for both presentations from Panel members.

9 Dr. McKone.

10 PANEL MEMBER MCKONE: Yes. I actually want to --  
11 not the question of statistical power, but the issue of  
12 dust on that slide where you -- where in your talk you  
13 make the point about there's a lot of persistence in dust.  
14 I actually think one might -- I've been working a lot with  
15 the mass balance in doors and indoor environments,  
16 commercial buildings.

17 And one of the things that seeing is that I don't  
18 think the dust persists that long, but the chemicals  
19 persist in the dust, and it's because a lot of these  
20 compounds have really high lipid solubility, very low  
21 vapor pressure, and they do persist in building materials.  
22 Like, I mean, there's measurements. John Little, you  
23 know, is looking at vinyl and penetration of chemicals.  
24 So if they go into things like vinyl and carpet, they stay  
25 for a long time.

1           So I think one other hypothesis for exploring  
2 that is that if you -- if they persist in the indoor  
3 environment for a long time, they won't be in the air.  
4 They'll be hard to find in the air, because their vapor  
5 pressure is low, but they will be easier to find in the  
6 dust. So the dust may be the sentinel as opposed to the  
7 storage medium, right? The storage is probably in  
8 building materials, but the dust is what's the sentinel  
9 medium for telling you kind of what the -- what I would  
10 call the overall fugacity of the system. So you might  
11 want to look at that hypothesis.

12           DR. PETREAS: You're right. These chemicals may  
13 persist on films, on window panes, on other material, on  
14 furnishings, but the dust is a convenient and consistent  
15 way of measuring them. So it's kind of having a tool to  
16 measure these.

17           PANEL MEMBER MCKONE: Well, the only reason I  
18 raise this, is that if somebody -- you know, it's the  
19 perception. If you say, oh, well, these are persistent in  
20 dust, people will say, well, let's just remove the dust.  
21 Let's clean the house. But the problem is that the  
22 chemicals stay. I mean, you can get rid of the dust, but  
23 as new dust comes in --

24           DR. PETREAS: Correct. You partition it.

25           PANEL MEMBER MCKONE: -- it just equilibrates

1 with the reservoir. So the reservoir is not in the dust.  
2 The reservoir is in carpet, walls, vinyl, furniture, PUF.  
3 All these things just keep replenishing.

4 DR. PETREAS: So we can think of dust as a  
5 passive sampler for whatever is --

6 PANEL MEMBER MCKONE: Actually, I think skin  
7 might be a passive sampler too, but we don't want to get  
8 into that.

9 (Laughter.)

10 CHAIRPERSON LUDERER: Dr. Solomon and then Dr.  
11 Wilson.

12 PANEL MEMBER SOLOMON: Yes. This is a question  
13 for Dr. She. About arsenic speciation. And I'm thrilled  
14 to see that the lab is getting there with the arsenic  
15 speciation method. This is going to be, I think, very  
16 helpful. And I'm showing my ignorance, but I was hoping  
17 you could talk us through the stability column to help  
18 explain a little bit more -- put those numbers in context  
19 about what they mean. I don't know if it's possible to  
20 bring that back up.

21 And the other thing was the percent recovery for  
22 most of the forms of arsenic were really quite good, but  
23 the trivalent form of arsenic is actually kind of -- very  
24 important clinically. And that had the lowest percent  
25 recovery at 81 percent, so that made me a little nervous.

1 And I was wondering if you could talk a bit more about  
2 that, because that would be the -- as a clinician, the one  
3 that I would care most -- you know, very much about.

4 DR. SHE: Regarding stability, we use in-house  
5 prepare the quality control samples. We did a six week,  
6 we stored the samples in the minus 70 degrees. And then  
7 each week we take one sample out and then thaw it.  
8 Actually, each week we have almost two samples. Dr.  
9 Ryszard, if I'm wrong, please correct.

10 So we take out the samples and then we did  
11 basically almost 12 up to 14 samples. We did a statistic  
12 on the CV to see the variation. That's only stability we  
13 did so far. The other stability, like the  
14 post-preparation stability, and store and refreeze  
15 stability, we still needed to do it. This is only one  
16 test to show at least under the storage sample can be hold  
17 and time capped so long before we analyze it.

18 PANEL MEMBER SOLOMON: So just to clarify, so  
19 does that mean that over the -- at the end of the six-week  
20 period the levels of arsenobetaine were within 12 percent  
21 of where they were at the beginning of the sample period,  
22 or, I mean, with plus or minus 12 percent of the original  
23 level, is that what that's measuring?

24 DR. SHE: We can understanding in that way; we  
25 developed also 14 sample statistics over the period from

1 beginning to the end.

2 PANEL MEMBER SOLOMON: Okay. Thank you.

3 DR. SHE: And regarding recovery questions. This  
4 sample is NIST standard materials. Arsenic III with a of  
5 recovery 81 percent. Generally speaking, if you have an  
6 analytical method, if the recovery of the target analytes  
7 is between 70 to 125 percent, it is considered to be an  
8 acceptable method.

9 So 81 percent is one of the lowest, but still in  
10 the accepted range for these test materials. We are aware  
11 this -- for the toxicity, like, it means the most toxic  
12 form. And we hope we can improve in the future. It's  
13 acceptable, but better we get -- improve it.

14 CHAIRPERSON LUDERER: Dr. Wilson.

15 PANEL MEMBER WILSON: If I could just follow up  
16 on Gina's question on the stability. So what you're  
17 saying is that that -- those values are the standard  
18 deviation of your samples as a percentage of the mean?

19 DR. SHE: Yes. Yes.

20 PANEL MEMBER WILSON: Okay. And then do you have  
21 a -- does it reach a -- over the course of those six  
22 weeks, does -- did it reach a place where you felt it was  
23 stable or was it continuing to decline up to the end of  
24 those six weeks?

25 DR. SHE: And, generally, CV reflects the random

1 error of analytical measurement, is not a measurement of a  
2 trend. So if we saw a trend, then we might have a problem  
3 or a systematic error, procedure.

4 But so far, I think this is a measurement of a  
5 random error. So within the six months -- within six  
6 weeks we can show our data has fluctuated around the  
7 mid-averaging.

8 PANEL MEMBER WILSON: Okay. So it's instrumental  
9 variability?

10 DR. SHE: Yes.

11 PANEL MEMBER WILSON: It's not a degradation of  
12 the sample?

13 DR. SHE: No. We did not find a degradation.

14 PANEL MEMBER WILSON: Okay. Yeah. Thank you.  
15 And then I had another -- could I follow up another  
16 question with Dr. Petreas.

17 On the new BFRs in dust, are those -- are any of  
18 those -- or do you see those as degradation products of  
19 other known flame retardants, or are those new -- sort of  
20 new flame retardants that you're starting to see?

21 DR. PETREAS: These are new for us, but they have  
22 been reported for the last couple or three years. So  
23 these are new BFRs. They're not metabolized for breakdown  
24 products. So they're part of the Fire Master and part of  
25 other replacements to PBDEs.

1 PANEL MEMBER WILSON: Okay. Thank you.

2 CHAIRPERSON LUDERER: Dr. Alexeeff.

3 OEHHA ACTING DIRECTOR ALEXEEFF: Thank you. I  
4 have a question for Dr. Petreas. I was wondering about  
5 for the bisphenol A, you had mentioned that you had a  
6 lower detection limit for measuring bisphenol A in serum.  
7 And then you also said that bisphenol A is usually  
8 measured in urine. So I was just wondering, do we know  
9 the relationship between the levels that are measured in  
10 serum versus the levels measured in urine?

11 DR. PETREAS: I know of one publication that  
12 found something within 10 to 40 times higher levels in  
13 urine than in blood. So by all means, I mean, if you want  
14 to plan a study, you collect urine. But in case you don't  
15 have the urine, this gives you an opportunity to measure  
16 it.

17 CHAIRPERSON LUDERER: Actually, I have a quick  
18 follow-up question about BPA too. Is your BPA method  
19 total BPA, conjugated and unconjugated?

20 DR. PETREAS: Yes.

21 CHAIRPERSON LUDERER: Great. Thank you. Because  
22 only about 0.01 percent is the unconjugated, I think, in  
23 serum, correct?

24 DR. PETREAS: (Nods head.)

25 CHAIRPERSON LUDERER: Now, I'm wondering

1 whether -- we're a little behind schedule here, but do we  
2 have any public comments questions? We can perhaps take  
3 those.

4 MS. PATTON: Hi. I'm Sharyle Patton from the  
5 Commonweal Biomonitoring Resource Center. And I just  
6 wanted to say first of all, congratulations on the great  
7 work you're doing. It means a lot to all of us. And  
8 you're doing a great job. And, of course, we look forward  
9 to the public release of data, and hope to support on  
10 that. And I think that's very important.

11 I just wanted to say two things. One is that we  
12 collected and tested both blood and urine for BPA in 35  
13 people, five people in each of seven States across the  
14 country about four years and be glad to share that  
15 information with you. It's kind of interesting.

16 And then also in our own firefighter project that  
17 we're working with on the International Association of  
18 Firefighters, we tested 31 firefighters in 15 states. And  
19 in part of our results communication, it includes a pass  
20 code protected website for each firefighter where they can  
21 access results.

22 So they get their results, but they can also  
23 click on other pages that discuss the factors that modify  
24 the effects of toxic chemicals, combinations of chemicals,  
25 possibility of stress, low dose effects, non-monotonic

1 dose response, because many firefighters are women that we  
2 tested.

3           But also, I just wanted to say that we have on  
4 the results page an RSS feed that working with the IFF, we  
5 can feed out to the firefighters in the study different  
6 information that they might find relevant, which would  
7 include biomonitoring studies that would be interesting to  
8 firefighters.

9           So we hope to work in collaboration with you at  
10 some point when the results become public, so we can feed  
11 out to these firefighters, many of whom are captains in  
12 the union in their particular firefighting situation.  
13 Feed out information about what is coming out of FOX, in  
14 terms of exposures and also we'll do other kinds of things  
15 that firefighters we find are interested in, which  
16 includes regulations about changing the way chemical fire  
17 retardants are being regulated.

18           And, of course, I'm referring T-117. So anyway,  
19 it's an opportunity. I hope we can work in collaboration.  
20 We can talk more about this later, but again  
21 congratulations on all this very important work.

22           Thank you.

23           CHAIRPERSON LUDERER: Thank you very much for  
24 your comment. Do we have any another public comments?

25           No.

1           So then we do have some time, more time, for  
2 Panel discussion, particularly whether any of the Panel  
3 members might have any recommendations about priorities or  
4 comments on priorities of the two labs over the coming  
5 time frame, or any other questions related to the  
6 laboratory presentations?

7           PANEL MEMBER SOLOMON: Just one quick question.  
8 This is Gina Solomon. Will the laboratory directors be  
9 here in the afternoon, because I think we may have  
10 questions about some of the designated chemical issues,  
11 and the things that we're going to be looking at in the  
12 afternoon? And if so, then those questions can wait. If  
13 not, maybe we should grab this opportunity to ask some of  
14 those questions.

15           DR. PETREAS: I would have to leave by 2:30.  
16 Sorry.

17           CHAIRPERSON LUDERER: And, Dr. She, will you be  
18 here?

19           DR. SHE: I will be here, but maybe some  
20 questions Dr. Petreas can answer better, so if you have  
21 time.

22           CHAIRPERSON LUDERER: Were there some specific  
23 questions related to the afternoon for Dr. Petreas that  
24 you had?

25           Dr. Solomon.

1           PANEL MEMBER SOLOMON: Yes. With regard to -- I  
2 mean, some of the chemicals that we're going to be looking  
3 at this afternoon are related to bisphenol A in various  
4 ways. One of the aromatic phosphates, the first one that  
5 we're going to be looking at, bisphenol A bis(diphenyl)  
6 phosphate and then a number of these bisphenol A  
7 substitutes are also chemically related to bisphenol A.

8           And so, you know, from a laboratory perspective,  
9 my question is sort of, you know, what -- from your review  
10 of these chemical structures, how difficult would it be to  
11 develop methods for those, do they fall into different  
12 categories where some could be bundled and others less so.

13           DR. PETREAS: Well, OEHHA staff have done a great  
14 job at identifying all these -- they shared them with us.  
15 We looked at them. We haven't used them. We haven't  
16 touched this standard -- we don't even know if there are  
17 standards for those. But from our part, what we plan to  
18 do is talk with other people who may know more, talk with  
19 companies who make manufacture standards to see what's  
20 available and who has -- who may have information, and  
21 then explore.

22           I mean, some things we can guess that maybe  
23 amenable to the current method. Others, may need  
24 different approaches. But we are talking to our  
25 colleagues and trying to get this information.

1 PANEL MEMBER SOLOMON: Thank you.

2 DR. SHE: And beyond what Dr. Petreas said, since  
3 the standards are not available, our approach -- we are  
4 showing that in urine. And so it's possible first to try  
5 to qualitatively to see if they are there. We have some  
6 analytical tools to see the major peak if they show up,  
7 and then definitely also like what Dr. Petreas said, and  
8 then we find the standard, then quantify that.

9 So we are able to bundle them with the current  
10 urine panels, BPA's panels.

11 CHAIRPERSON LUDERER: Actually, Dr. She, I did  
12 have another question for you before you sit down related  
13 to the PAH measurements. I know that you've developed two  
14 methods. And my question was, is there a difference in  
15 the two methods between -- in terms of things like limit  
16 of detection, the variability, the amount of sample  
17 required.

18 DR. SHE: Yeah. First method was SPE. And we  
19 analyzed an equal number of the PAH, but with SPE method  
20 we include one biomarker to measure the oxidation stress.  
21 And so if that's just a difference there. And also that  
22 method, since we needed to measure this biomarker for the  
23 oxidation stress, and for -- to assess any damage, that  
24 method is not so sensitive.

25 This was done by a visiting professor. It's kind

1 of with her own resource, and bring from China government.  
2 She has experience in this area, so we said okay. If you  
3 develop PAH, other things, that's kind of easy bundled  
4 together. She developed that method.

5           So for the second method we basically used CDC's  
6 sample cleanup procedure, which used liquid-liquid  
7 extraction. CDC's traditional method liquid-liquid  
8 extraction derivatives and then use LC -- use GC/MS. We  
9 thought maybe pull out clean-up procedure. We lost the  
10 samples. With just use extraction without derivatives.  
11 So we use LC/MS/MS.

12           So that's the method, even more sensitive than  
13 traditional method. So we need less sample, we can detect  
14 more. If only for PAH, the second method is better.

15           CHAIRPERSON LUDERER: Thank you.

16           Dr. Solomon.

17           PANEL MEMBER SOLOMON: I'd just like to follow-up  
18 on discussions we've had at prior meetings about  
19 non-targeted analysis. And I know that that's something  
20 that CDC isn't very enthusiastic about, but I remain  
21 somewhat enthusiastic with trying to explore that. Is  
22 there any progress on that front? I know there'd been  
23 interest in potentially obtaining additional equipment  
24 that would help make that possible, and investigating  
25 doing some non-targeted screening.

1 DR. SHE: For non-target screening, on one hand  
2 we need a different set of instruments, which actually  
3 allow to fix that. Many instrument you can do it. We  
4 have a partial instrument can do this kind of work. Like,  
5 we have LC P55 hundred the Q Trap, which allow you to do  
6 the predictive multiple reaction monitoring.

7 And then this kind of tools allow you to do  
8 certain identification work on the screening, because of  
9 scan speed. We also have a high resolution, which provide  
10 accurate mass through on the library search.

11 And that it required a different set of  
12 instrument, we also required the chemist to have a high  
13 level of knowledge. Gladly, we have the people in the  
14 house which have that -- we have deduct a low compound  
15 identification for many years, not for this Program  
16 purposely. It happened for the other things.

17 For example, about 20 years ago, I helped develop  
18 a system called ASES/MS, which is standard for automatic  
19 structure elucidation system, which use mass spectra  
20 information to do the compound identification.

21 Recently, we hired a Dr. Wei Zou. He work in  
22 University of Davis with Dr. Fiehn's lab, have a lot  
23 better ground with metabolic knowledge in there. So his  
24 knowledge also fit in, so when we -- program needed, we at  
25 least have a staff ready. We have partial instrument.

1 And, you know, better way if we can have more resource buy  
2 better instrument will be better. But currently, we can  
3 do very limited work on that area.

4 CHAIRPERSON LUDERER: Dr. Petreas, did you also  
5 want to comment on that.

6 DR. PETREAS: I just want to add, he's right.  
7 It's not a priority at this point. We are -- I mean, you  
8 need the right instruments. So we have good instrument,  
9 not the TOF, what we call -- which is the one that we are  
10 planning to get on our fifth year of the CDC grant. In  
11 the meantime, we're talking with people who are using such  
12 instruments, and try to get the pros and cons. And  
13 technology is getting better, sensitivity is getting  
14 better and prices are coming down, so it's good.

15 And we hope by a year from now, we'll have more  
16 information and we can have more decision on what needs to  
17 be done, but that's the plan. You need the right tools  
18 and the right people and the right environment to do this  
19 work. But this is really crucial, because there are so  
20 many things that we are not looking for.

21 CHAIRPERSON LUDERER: Dr. Solomon.

22 PANEL MEMBER SOLOMON: One follow-up question.  
23 This afternoon, we're going to be looking at how to sort  
24 of deal with all of these potential bisphenol A  
25 substitutes, and how to prioritize them, and which ones we

1 might want to, you know, move forward. And, you know, so  
2 one theoretical possibility might be to request that the  
3 lab do sort of -- you know, sort of a non-targeted  
4 screening that would try to evaluate a number of samples,  
5 since we know the molecular weights of all of these  
6 chemicals, you know, to see if they might be present on  
7 some of the samples that the lab already has.

8           Is that feasible, something like that? Would it  
9 be, you know, something that you actually could do and  
10 perhaps bring back to the Panel if that were something  
11 that Panel wanted to do, or is that something that really  
12 is beyond --

13           DR. PETREAS: I think it's a good idea, but  
14 primarily this would be in urine, correct, because we  
15 have -- first of all, we have more volume, and you have  
16 higher volume, higher concentrations, and you expect to  
17 see most of them in the urine, so I think that would be in  
18 your --

19           DR. SHE: Yeah. Right now, as I mentioned, for  
20 the urine, we have this LC Q Trap, which allow us to the  
21 predictive MRM and the MS/MS III, so which would allow us  
22 do the metabolite profiling. So this kind of work we can  
23 do with current to -- maybe not the best tool, but can get  
24 some information for the phenols, bisphenol S, bisphenol  
25 F. We can try to verify that, if we needed it.

1 PANEL MEMBER SOLOMON: Thank you.

2 CHAIRPERSON LUDERER: Are there any additional  
3 comments, questions from the Panel?

4 Okay. I think we're actually on time.

5 MS. DUNN: It's a little out of order, but there  
6 was a question that came up for Dr. Das. So I don't know.  
7 I think it's a pretty quick question, if that would be  
8 possible.

9 CHAIRPERSON LUDERER: Question from the public.

10 MS. DUNN: Yeah, a question from the public.

11 CHAIRPERSON LUDERER: This is -- sorry.

12 MS. BUERMEYER: Nancy Buermeyer.

13 CHAIRPERSON LUDERER: Thank you.

14 (Laughter.)

15 MS. BUERMEYER: Nancy Buermeyer from the Breast  
16 Cancer Fund. And I want to start by adding the Breast  
17 Cancer Fund's congratulations and appreciation for the  
18 very impressive presentations from both the labs.  
19 Although, I didn't understand a lot of that.

20 (Laughter.)

21 MS. BUERMEYER: And from Dr. Das. So my question  
22 was we are excited about the return -- the results return  
23 work that you've done. It's an incredibly impressive  
24 package, and we're looking forward to that process  
25 continuing. And as you probably know, it's been a little

1 bit controversial nationally. And, you know, we're very  
2 excited about the great leadership that California is  
3 showing in this area.

4 So my relatively simple question is, the results  
5 are offered upon request from the study participants. So  
6 I'm interested in the rate of requests, how many of the  
7 106 firefighters asked for their results to be returned,  
8 for all of the studies not just the firefighters?

9 DR. DAS: Right. And so far, our findings for  
10 similar cross studies and we haven't done a thorough  
11 analysis about what percentage of participants request  
12 their results. But just our -- just on brief glance, the  
13 vast majority of participants do want their results.  
14 There maybe a few here and there who don't wish to receive  
15 their results, if any, but I would say very close to all  
16 participants would like to receive their results,  
17 regardless of which study we're talking about.

18 So we're really talking about the three studies  
19 that Biomonitoring California has initiated, BEST, MIEEP,  
20 and FOX.

21 MS. BUERMEYER: Thank you.

22 CHAIRPERSON LUDERER: Thank you very much. So we  
23 will break for lunch now, and reconvene at 1 p.m.

24 But before we break, Carol Monahan-Cummings, who  
25 is the staff counsel for OEHHA, is going to give us a

1 reminder about Bagley-Keene.

2 CHIEF COUNSEL MONAHAN-CUMMINGS: Carol  
3 Monahan-Cummings, Chief Counsel for OEHHA. And this is  
4 just a brief reminder that during your lunch break, if you  
5 can avoid talking about the decisions or recommendations  
6 you might be making this afternoon, and just keep your  
7 discussions in the public view at the meeting, I'd  
8 appreciate it.

9 Thank you.

10 CHAIRPERSON LUDERER: All right. Thank you.

11 Dr. Zeise, do you have a comment?

12 DR. ZEISE: No.

13 CHAIRPERSON LUDERER: All right. We will  
14 reconvene at 1 Thank you everyone

15 (Off record: 12:07 p.m.)

16 (Thereupon a lunch break was taken.)

17

18

19

20

21

22

23

24

25



1 available -- and is in your packets for the Panel members,  
2 and is titled Initial Results from Biomonitoring  
3 California Collaborations.

4 --o0o--

5 DR. DAS: Over the past five years or so, the  
6 Program has been building capacity and capability. And  
7 we're now just starting to present the preliminary work  
8 that we've done. The purpose of this agenda item is  
9 two-fold. First, to present to the Panel and to the  
10 public initial data from our collaborative efforts.

11 These results are preliminary. Our data analysis  
12 is ongoing, and we plan to present even more detailed  
13 information as we complete our analyses.

14 Secondly, we would like the Panel's input on the  
15 content and the presentation of these materials as a  
16 document that will be posted on the Program's website,  
17 and, in addition, will form the basis of what will be the  
18 data report that is required of the Program to be posted  
19 every two years, and we plan to post a data report this  
20 July. So we would appreciate your input as to the  
21 materials to include as part of the data report.

22 --o0o--

23 DR. DAS: The document that I'm going to be  
24 discussing consists of the following elements:

25 And I'll highlight the contents. I won't be

1 going over each item in detail, but I'll describe what is  
2 contained. The contents include the key messages, the  
3 chemicals that Biomonitoring California labs can measure,  
4 a description of the collaborations, initial combined  
5 results, and what we look forward to doing in the near  
6 future.

7 --o0o--

8 DR. DAS: I'd like to turn now to the three key  
9 messages that the Program would like to put forward and  
10 that are illustrated by the data that we present as part  
11 of this report.

12 First, the Program has measured many chemicals in  
13 California residents. Among the chemicals detected by the  
14 Program are those in consumer products, for example  
15 phthalates and heavy metals, and persistent chemicals,  
16 some of which have been banned. For example, some flame  
17 retardants that are a widely -- were widely used in  
18 furniture and electronics like the penta- and  
19 octa-polybrominated diphenyl ether, or PBDEs, and some  
20 pesticides that have also been banned, such as DDT.

21 --o0o--

22 DR. DAS: The second major message of these  
23 materials is that since 2007, the Biomonitoring California  
24 labs have made significant advances in their ability to  
25 measure chemicals in people. The Program's labs can now



1 information included in the figure and the table, show the  
2 rapidly developing and extensive analytic capabilities  
3 that allow us to detect low levels of chemicals in people.

4 --o0o--

5 DR. DAS: This is Figure 1 in your document.  
6 This figure shows that the number of chemicals our labs  
7 can measure has increased more than five-fold over the  
8 past four years. The number of chemical classes is  
9 increasing, as are the number of chemicals in each class.

10 New capabilities added since 2007 include  
11 phthalates, perfluorinated chemicals and some pesticides,  
12 environmental phenols, and brominated and chlorinated  
13 flame retardants. We think this is a -- this bar chart  
14 really illustrates the dramatic abilities that the labs  
15 have developed over the past few years.

16 --o0o--

17 DR. DAS: This is an excerpt of Table 1, which  
18 describes the approximately 100 chemicals that the labs  
19 can measure in blood or urine. This table illustrates the  
20 chemical classes or chemical subsets of the chemical  
21 classes that the labs can measure. The table includes a  
22 brief description of the uses of the chemical in Column 2,  
23 the second column here, and an explanation of the type of  
24 analyses that the labs can carry out, whether in urine or  
25 serum or both here in Column 3.

1                   --o0o--

2           DR. DAS:  The next section of this report  
3 describes the collaborations that Biomonitoring California  
4 has entered into.  I've already mentioned that we have  
5 collaborated on studies of more than 10 different  
6 populations.  The populations included in our  
7 collaborative studies include, as you already know,  
8 pregnant women, infants, firefighters, residents of  
9 agricultural communities, and pre-adolescent girls.

10           The CDC cooperative agreement has more than  
11 doubled the resources available for building our  
12 biomonitoring capacity.

13                   --o0o--

14           DR. DAS:  The description of the collaborations  
15 are presented in two ways; in narrative format and in  
16 table format.  We have divided our collaborations into two  
17 categories.  The first category are the full project  
18 collaborations.  And these are the ones that you've heard  
19 the most about over the last few years.  These are the  
20 projects that Biomonitoring California has been involved  
21 with from the start, and has been involved in the design,  
22 in the recruitment of participants, in collection of  
23 samples, and the analyses, as well as returning results.

24           The projects that we are categorizing as  
25 laboratory collaborations are those where the samples may

1 have been collected by other researchers, who are outside  
2 of Biomonitoring California, and our labs are analyzing  
3 the samples.

4 --o0o--

5 DR. DAS: The next couple of slides show an  
6 illustration of these two sections. First, the narrative  
7 description. This is an example of a narrative  
8 description of a full project collaboration that you've  
9 heard a lot about, the Firefighter Occupational Exposures  
10 Project, or FOX.

11 I'm not going to go through this in detail. This  
12 is just to illustrate what that table contains. This is  
13 the kind of description that's presented for a full  
14 project collaboration in the narrative explanation.

15 This is an example of a narrative explanation for  
16 a laboratory collaboration. And the example we've used  
17 here is the CHAMACOS study.

18 --o0o--

19 DR. DAS: Following the narrative descriptions of  
20 our collaborations, there's a table. And again I've used  
21 FOX and CHAMACOS as illustrations of how a full project  
22 collaboration and a laboratory collaboration are presented  
23 in the table.

24 The table lists the collaboration, the number of  
25 participants, the population, in this case firefighters

1 and five-year old children at the time of recruitment, the  
2 catchment area, the chemicals that were biomonitored in  
3 each study, and the dates that the samples were collected.

4 --o0o--

5 DR. DAS: The next section of the initial results  
6 document is illustrated by Table 3, which combines results  
7 from eight individual studies and shows the chemicals that  
8 Biomonitoring California has found so far in California  
9 residents who have been tested.

10 Additional analyses are underway on samples. And  
11 some of these are still in progress. And again, I want to  
12 remind you that these are preliminary results, and we will  
13 present more detailed analyses on each of the projects as  
14 these are completed.

15 The table displays the percentage of people in  
16 whom the chemicals were found, or detection frequency.  
17 And let me just go on to the table and describe that.

18 --o0o--

19 DR. DAS: So this is an illustration -- excerpt  
20 of Table 3, which presents the combined results from  
21 Biomonitoring California collaborations.

22 Here listed are the chemicals that were measured.  
23 And for illustration purposes, this slide shows metals and  
24 PFCs. The study in which those chemicals were measured.  
25 And the study is listed as a letter, which is not defined

1 here on the slide, but in your document it's on page 15.  
2 Each of the -- or the page that the table is on. Each of  
3 the studies for which the chemicals were analyzed is  
4 listed as a letter for ease of presenting in the table,  
5 the number of people in which that chemical was analyzed  
6 and the detection frequency.

7           The detection frequency, I'm sure the Panel  
8 members are familiar, but for members of the public who  
9 are not familiar, does not indicate the level of a  
10 chemical that is measured, nor does it provide information  
11 on possible health effects. The detection frequencies  
12 listed here also are not necessarily representative  
13 chemicals that can be generalized to the State's  
14 population as a whole, because these were smaller studies  
15 that are combined here for this results presentation.

16                           --o0o--

17           DR. DAS: Finally, we look forward to doing even  
18 more and presenting more detailed information to the  
19 Panel. Detailed findings on individual studies will be  
20 released in the near future as the project collaborations  
21 proceed.

22           Our laboratory capability and capacity are  
23 continuing to expand. And the Program has launched a  
24 pilot study in the Central Valley with the help of Kaiser,  
25 with participants selected to approximately represent the

1 adult population of that area. The pilot project will  
2 help us to build capacity and to produce data  
3 representative of the region's and the State's general  
4 population.

5 Finally, Biomonitoring California findings will  
6 be critical for informing State programs to protect the  
7 public from harmful chemicals and making those efforts  
8 more targeted and cost effective.

9 --o0o--

10 DR. DAS: At this point, we would like to get any  
11 questions or comments from the Panel addressing what I've  
12 covered so far.

13 CHAIRPERSON LUDERER: Thank you, Dr. Das, for  
14 that presentation and for all the work that has clearly  
15 already gone into this preliminary document in preparation  
16 for the report.

17 So we have two -- some time now for Panel  
18 questions, clarifying questions, and general comments.  
19 Then we'll take public comment. And then we have a longer  
20 period of time for Panel discussion. So do you any of the  
21 Panel members have clarifying questions?

22 Dr. McKone.

23 PANEL MEMBER MCKONE: Probably a question as much  
24 as a comment. But it's really impressive that we have so  
25 many chemicals that are going in. And yet, on the other

1 hand, I go well there's a hundred, maybe could do 200, but  
2 how many thousand are out there? And I just raise this,  
3 because it's a question I often get about, well you're  
4 finding these chemicals, but what about the ones you're  
5 not looking for, don't know how to look for, what do we  
6 know about those, and what can we generalize?

7 I just -- I kind of raise that as something I  
8 think we have to be aware of, is that we're -- you know, I  
9 don't know if we're seeing the tip of the iceberg or we're  
10 seeing the center of -- you know, if we're really -- the  
11 question I always ask is did we pick the right ones, in  
12 terms of health outcomes or levels of concern or some  
13 other reason. And I think it's just going to be an  
14 ongoing issue, but it is -- it sounds like a lot, but I'd  
15 like to see a plot of, you know, some day of how many that  
16 is compared to the 5,000 that are in wide use and common.

17 DR. DAS: Thank you for that comment.

18 CHAIRPERSON LUDERER: Dr. Wilson.

19 PANEL MEMBER WILSON: Thank you. It's similar --  
20 I have a similar thought and then a question. That one of  
21 the ways we framed the -- you know, the data from CDC that  
22 sort of has the same limitations as Tom is describing is  
23 saying that Biomonitoring California looked for and found,  
24 which is a little bit different than saying found, and is  
25 sort of just a slight language change, but it sort of gets

1 to Tom's point, that, you know, we're looking for these  
2 and we found all the ones we were looking for.

3 But it might help underscore that to say that  
4 something to the effect that there are -- you know, that  
5 doesn't necessarily represent the complete universe, or  
6 something to that effect.

7 I think that's -- it is useful. I also -- I  
8 think it's helpful the way you've framed these first three  
9 initial findings that are on the second page in the boxes.  
10 And simply -- it's just sort of a statement of facts,  
11 without, you know, trying to put interpretive information  
12 in there up front. I think that's -- I think that's  
13 smart. I think it's a good approach just to sort of put  
14 that up. And I understand this is going to be a public  
15 document that goes up on the website. That's this  
16 language.

17 DR. DAS: Well, the document is public, because  
18 it has been posted to the Program's website.

19 PANEL MEMBER WILSON: Right.

20 DR. DAS: And we are looking for the Program's --  
21 I'm sorry, the Panel's input into the data report that  
22 will also be a public document posted on the website.  
23 This is a preliminary document. We're looking for the  
24 Panel's input as to how it should be finalized, because  
25 the final document will become a public document as well.

1           PANEL MEMBER WILSON: Right. I think the -- you  
2 know, the way you've framed it is really nicely done.

3           And then could I just ask a question about  
4 the -- on the collaborations, did the Firefighters  
5 Association in Orange County play a role that would be  
6 considered a collaborator?

7           DR. DAS: Yes. We consider them a collaborator,  
8 because they were instrumental in allowing us to do the  
9 FOX study. We've presented findings on an ongoing basis,  
10 not the data, but just our progress reports. And the  
11 individual firefighters or the labor representative has  
12 played a role in recruiting firefighters or arranging for  
13 focus groups and allowing the study to proceed. So, in  
14 that sense, they are collaborators.

15           PANEL MEMBER WILSON: Yeah. I mean, I think it  
16 would be helpful to make that explicit in the section  
17 that's under full project collaborations, that the  
18 collaborators were, you know, both the Orange County Fire  
19 Authority and, it's probably, International Association of  
20 Firefighters Local something for Orange County. That  
21 would -- I think that would be helpful and it would be --  
22 just send a nice message.

23           DR. DAS: Okay. Thank you for that input. We  
24 will take those into consideration as we proceed in  
25 finalizing the document.

1 PANEL MEMBER WILSON: Thank you.

2 CHAIRPERSON LUDERER: Dr. Kavanaugh-Lynch.

3 PANEL MEMBER KAVANAUGH-LYNCH: Hi. Mel  
4 Kavanaugh-Lynch. I really enjoyed this report very much.  
5 And it was great to see the progress that the Program has  
6 made to date all gathered together so nicely.

7 I think part of the comments that have already  
8 been made are addressing kind of putting that work into a  
9 context. And I agree that I kind of missed that  
10 contextual aspect of the report.

11 I also kind of missed a "So what?" at end, sort  
12 of the implications. So being fully aware that the  
13 findings -- that these are pilot studies, and that the  
14 findings are preliminary and all of those qualifications.  
15 I think a -- so, at the end, what does that matter to the  
16 people of California?, would, be a good thing to add.

17 So, you know, is it a wonderful thing that the  
18 State now has the capacity to biomonitor these, and why is  
19 that a great thing? Is it interesting or intriguing at  
20 all that certain findings have been discussed thus far,  
21 and what might the implications of those findings and  
22 other related findings that might come up in the future be  
23 for the residents of California? I think I would imagine  
24 most of the public who might read this report would be  
25 most interested in that kind of intro section and that

1 conclusions section, and a little bit less in all the  
2 details in between.

3 DR. DAS: Okay. Thank you for that input, and  
4 we'll consider adding those as well.

5 CHAIRPERSON LUDERER: That actually -- I had sort  
6 of a clarifying question I think relates to what Dr.  
7 Kavanaugh-Lynch was just saying, which is what do you  
8 see -- who is the primary audience for the report going to  
9 be? Is it intended to be, you know, everyone, the public,  
10 legislators, the, you know, scientists, or is there a  
11 particular target audience that it's mostly intended for?  
12 I think that kind of affects what sorts of things and how  
13 the things would be presented in a report.

14 DR. DAS: Yes. That's a very good question, Dr.  
15 Luderer. I think we feel that our primary audience is the  
16 public and the legislators and a secondary audience are  
17 scientists and people with more technical background,  
18 because scientists will -- the information that they're  
19 interested in will come out in other formats, like  
20 published papers and so on.

21 CHAIRPERSON LUDERER: Dr. Solomon.

22 PANEL MEMBER SOLOMON: I agree with what others  
23 on the Panel have said, that this is a very nicely done  
24 report, really clear, good format. The only minor point  
25 is that the -- you know, like looking at the PBDEs, it

1 lists them by number. And then if you're, you know, kind  
2 of a member of the public, it begs the question of, well,  
3 are any of these translatable to anything you might have  
4 heard of? You know, legislators, for example, have heard  
5 of things like deca- or penta- and wouldn't necessarily  
6 know how those map against the ones listed here.

7 So it might be helpful to have a little brief  
8 explanation when -- you know, when there is something that  
9 can map to a different term that people might have  
10 encountered, because in the previous table it just sort of  
11 defines PBDEs generally as a group or PFCs generally as a  
12 group. And some of these also have specific other names  
13 or uses that people have heard of.

14 DR. DAS: Thank you, Dr. Solomon. I just want to  
15 ask a question. Are you referring to then inserting a  
16 commonly used name with the -- you're talking about Table  
17 3?

18 PANEL MEMBER SOLOMON: Yeah, in Table 3. So you  
19 could even put in the first column a parenthetical after  
20 certain numbers there, and say, you know, BDE 209  
21 parentheses deca-, something like that.

22 DR. DAS: Okay. Thank you. That's certainly a  
23 good idea, and we'll do that.

24 CHAIRPERSON LUDERER: Okay. Do we have some  
25 public comments? I'd like to take some time to either ask

1 these people to come forward or if they were by email to  
2 read them.

3 MS. DUNN: Can I just say, we moved the mic over  
4 here for the commenters. Sorry, it's a little bit more of  
5 a walk, but it's better for the video camera.

6 CHAIRPERSON LUDERER: All right. We have a  
7 comment from Diana Graham.

8 MS. GRAHAM: I just wanted to congratulate the  
9 Program on the breadth that it's gotten to now. And I'm  
10 an analytical chemist, and I really maybe should have made  
11 this comment this morning when we were talking about the  
12 data.

13 But I would like to see, as Dr. Solomon  
14 commented, the data released as soon as possible, so that  
15 people can see it. I think, you know, it's very  
16 interesting to the people in California as to what you're  
17 finding, how it relates to the NHANES data that's already  
18 available. And I think that -- when you did the  
19 firefighters return information, I thought that was a  
20 great part of it, so that the people who are getting the  
21 information can say, well, here's what I have or here's  
22 what the State of California people have, and here's what  
23 the rest of the country has, because I think that gives  
24 them sort of a bases, even if we don't have levels of  
25 concern. We can look at that and see where we are.

1           And there has been data that was released like a  
2 couple years ago, for example, the farm workers study. We  
3 had a handout at one of the meetings, but it doesn't  
4 appear to be on the website. And I don't know why we  
5 can't have data that's already been released up on the  
6 website so people can see it. If I could just put that  
7 out as a comment.

8           Anyway. Thank you.

9           CHAIRPERSON LUDERER: Thank you.

10          Dr. Das, did you want to answer that question?

11          DR. DAS: If we're referring to some of the  
12 collaborations for which results were returned to  
13 participants, if information is already publicly available  
14 in another format, it's already there. What we chose to  
15 do here was to present everything in a uniform format. So  
16 certainly if something is publicly available, we will  
17 consider linking that information to this report.

18          And as information becomes publicly available,  
19 we'll continue to link it to this report. Certain studies  
20 have had information presented to participants and certain  
21 haven't. But for purposes of consistency, we chose to use  
22 a single report. But we can certainly change that going  
23 forward for individual studies as the information is  
24 available.

25          CHAIRPERSON LUDERER: Dr. Solomon.

1           PANEL MEMBER SOLOMON: In Table 3, just going  
2 back to that, you know, you list the detection frequency,  
3 but nothing about the, you know, median or range. And is  
4 that because you don't have all those data yet or because  
5 you feel -- don't feel comfortable putting that  
6 information out yet?

7           You know, it would be helpful, as soon as  
8 possible. And I guess that sort of leads to the question  
9 about public release of information, whether the Program  
10 envisions doing that, you know, waiting until the  
11 participants have been informed and then have had an  
12 opportunity to ask questions, or sort of doing the two  
13 processes in parallel, so that the information is released  
14 to the participants, you know, around the same time as the  
15 aggregated data are made available to the public.

16           I would tend to, you know, encourage trying to do  
17 those two as close to at the same time as possible,  
18 instead of waiting, just because of the importance of  
19 making the data publicly available.

20           And also, you know, if you're trying to publish  
21 the data, there's a lag time there anyway, so you may as  
22 well sort of try to move that process forward quickly.

23           Anyway, that's a bunch of different questions all  
24 rolled into one.

25           DR. DAS: In answer to your question about our

1 decision to post the detection frequency and not other  
2 statistics such as median and percentiles, the data  
3 certainly could be obtained. There are a couple of  
4 different considerations, and we are looking for the  
5 Panel's input. These are a combination of a number of  
6 different studies. One of the issues we'd like your  
7 feedback on is the advisability of producing statistics,  
8 such as median and more range and percentiles on combined  
9 studies where they've been collected under different  
10 circumstances, not a single population. So we'd like your  
11 feedback on whether that's scientifically advisable.

12           And, in terms of your question about the sequence  
13 of returning results to individuals and posting, our  
14 intent for the studies with -- our full collaborations  
15 where we've been responsible for designing the study and  
16 are responsible for returning the information to  
17 participants, our desire is to return the results to  
18 participants before public disclosure of the results. And  
19 so we certainly intend to disclose the results soon after  
20 returning the results to individuals.

21           For this preliminary results return, we've chosen  
22 to present -- have a uniform presentation for all studies.  
23 And these were the common elements, but we do -- would  
24 like your input regarding the additional information to  
25 present on the studies, whether it's scientifically

1     advisable.

2             And we do have -- I just wanted to say that we do  
3     have a set of questions that follow. And I think some of  
4     your questions now are getting into the questions we have  
5     for you. So Dr. Luderer, I don't know if you want to go  
6     to that now.

7             CHAIRPERSON LUDERER: I was going to suggest  
8     that. Yeah.

9             DR. DAS: So part of the reason for mentioning  
10    this on the first slide is to ask the Panel a set of  
11    questions that would guide us going forward in how this  
12    document should look like. This, again, was just to get  
13    you thinking about it, and to give us advice.

14            Before I go into the questions that we have for  
15    the Panel, I wanted to let you know, as I'm sure you're  
16    aware, that this document, as simple and as barren as it  
17    may seem, it involved a lot of work on the part of many  
18    staff, both staff -- staff in all departments, Sara Hoover  
19    in OEHHA, and Amiko Mayeno in the California Department of  
20    Public Health were, I'd say, the two main leads who put in  
21    a lot of work, but other staff as well. So I wanted to  
22    acknowledge their considerable efforts in putting this  
23    report together.

24                                    --o0o--

25            DR. DAS: And now I want to go on to the

1 questions that we have for the Panel. There are several  
2 questions. I'm going to go through all the questions and  
3 then we can go back to the ones you'd like to address.

4 --o0o--

5 DR. DAS: The first question is with regard to  
6 the three main messages contained in the materials for the  
7 Panel, are these messages useful and appropriate? And are  
8 there other messages that should be included?

9 --o0o--

10 DR. DAS: Secondly, with regard to Table 1 and  
11 Figure 1, the chemicals that Biomonitoring California labs  
12 can measure, are these groupings of chemicals useful and  
13 understandable?

14 Secondly, should additional information suitable  
15 for a technical audience be included, for example,  
16 information on the method detection limit?

17 Third, is there other chemical-specific  
18 information that would be helpful to include?

19 And finally, are there any suggested changes  
20 specifically to Figure 1?

21 --o0o--

22 DR. DAS: A third question for the Panel is with  
23 regard to the Biomonitoring California Project  
24 collaborations that are described in the narrative, as  
25 well as in Table 2, is this level of detail adequate for

1 the data summary report, which we are required to submit  
2 by July of 2012? And is there any other information that  
3 should be included?

4 --o0o--

5 DR. DAS: Next, referring to Table 3, Initial  
6 Combined Results from Biomonitoring California Project  
7 Collaboration, is the information in the table useful and  
8 clear?

9 Is the detection frequency useful to report for  
10 the initial combined results?

11 And would any other information be useful to  
12 include in Table 3?

13 And -- okay, so that was it.

14 Those were the questions we would like the Panel  
15 to address, and we can do so in whatever order you would  
16 like to.

17 CHAIRPERSON LUDERER: Since we're already --  
18 we're kind of starting a discussion about that last set of  
19 questions, perhaps we can start with that one.

20 Dr. Solomon, did you want to add something to  
21 your earlier comment?

22 PANEL MEMBER SOLOMON: I recognize what you're  
23 saying about lumping together somewhat disparate study  
24 populations, and the question about whether that's  
25 appropriate. I think that -- I mean, the bottom line here

1 is that all of these studies are being done through the  
2 same lab, using the same method of subpopulations in  
3 California under the aegis of the Biomonitoring Program.

4           And so I would pretty strongly lean toward  
5 presenting aggregate numbers program wide for certain  
6 purposes. I don't think you're really going to want to  
7 publish that in a peer-reviewed journal. I think you  
8 would publish each of these subpopulations separately.  
9 But for the report back to the Legislature and to the  
10 people of California, I would encourage, you know, sort of  
11 putting the aggregate data for California -- you know, all  
12 700 Californians so far together, and then as -- you know,  
13 as numbers become available for the substudies, then also  
14 presenting those separately.

15           And -- well, okay, and then I'll hold off on my  
16 input on some of the other questions.

17           CHAIRPERSON LUDERER: Dr. McKone.

18           PANEL MEMBER MCKONE: Yes. I think I would  
19 concur pretty much with Dr. Solomon, probably expand on  
20 that a bit. I think when you have data from different  
21 studies, there's some things to avoid. I mean, you can  
22 pool the information. And I think that's appropriate, and  
23 then even maybe make available all of the information.

24           I'd be careful though about means and medians,  
25 because the relevance of a mean and a median or standard

1 deviation is when you're pooling different data sets from  
2 different sources, can get you in trouble in terms of  
3 protocol and interpretation.

4           And again, not seeing the information, I'm not  
5 sure how to report it, but probably the range of some rank  
6 order of high to low, and how many were in certain ranges  
7 would still be very useful, and yet not violate this -- I  
8 mean there's kind of -- you're kind of violating the rules  
9 of statistics to pool two dissimilar data sets, and then  
10 call them a single data set. That can get us into a bit  
11 of trouble.

12           But I don't think displaying ranges of pooled  
13 sets is violating any sort of rules of statistical  
14 presentation or communication. So I would be in favor of  
15 seeing more information. It's certainly, in our minds,  
16 like, okay, so you got so many hundred percent detects,  
17 but what were they? What was the rank, the range, some of  
18 the -- just the magnitude -- some rough idea of magnitude.

19           DR. DAS: Laura Fenster from our Program would  
20 like to respond to your suggestion.

21           DR. FENSTER: I just have a question for  
22 clarification in terms of --

23           MS. HOOVER: Get closer to the mic.

24           DR. FENSTER: Oh, closer. Okay.

25           I have a question for clarification, which is I

1 have been very concerned about combining results from cord  
2 blood in pregnant women in this aggregate data. And so I  
3 and other scientists, I think, would really appreciate,  
4 given you're recommending combining data across different  
5 groups, what you think about those populations?

6 CHAIRPERSON LUDERER: Dr. Wilson.

7 PANEL MEMBER WILSON: Sure. I mean, I think  
8 it's -- Tom, I think, is starting to describe this. And I  
9 think if I understand it correctly, what you're saying,  
10 Tom, is that you have -- each of these would have a  
11 different distribution and standard deviation and so  
12 forth. And if you dumped them into a single data set, you  
13 violate that basic -- that principle. I guess -- and one  
14 of my concerns about combining them is that we do lose the  
15 potential for identifying and illustrating highly exposed  
16 subgroups, if you will.

17 If there are -- if some of the data from the --  
18 any of the individual populations is, you know, a lot  
19 higher or, you know, very different from the other ones,  
20 that seems like it would be important to be able to  
21 illustrate that.

22 And yet, I also -- you know, I guess this is  
23 interesting to hear from the Panel about what, you know,  
24 the scientific merit of, you know, pooling the samples.  
25 And because it's -- if we did do that, I think it would be

1 interesting where there's -- where there are chemicals  
2 that have also been evaluated by NHANES to place the  
3 California findings in the context of NHANES, in part  
4 because that was, you know, part of our decision-making  
5 process to identify substances that are of unique interest  
6 to California, for example. So two or three different  
7 ideas there, concerns.

8 CHAIRPERSON LUDERER: Dr. McKone.

9 PANEL MEMBER MCKONE: So I'm very uncomfortable  
10 mixing media, right? I mean, I think it's confusing if  
11 you take cord blood and compare it to serum blood from --  
12 and so I would be really uncomfortable with that.

13 I also -- you know, in terms of -- I don't think  
14 it dilutes the ability to pull out the population if it's  
15 there, right, if the information is there. You know, I'm  
16 thinking by analogy of other things. I know when we were  
17 doing bioconcentration studies with fish and worms and all  
18 kinds of -- we used to just do plots of everything we had.  
19 Just put it up, and then color, you know, these are worms,  
20 and these are fish, and these are polar bears. I mean,  
21 put everything out.

22 And, of course, you shouldn't -- you know,  
23 there's no statistical way to say, oh, the mean  
24 bioconcentration factor. But visually it's very powerful  
25 to see if they're just all over the place. Then you go,

1 well, there's no real theory for what's going on. If they  
2 cluster, you might develop some hypotheses. You know, I  
3 don't know how much the State wants to get into, you know,  
4 visual display of information, but I do think there's a  
5 lot of value to have it. Have more than just say -- I  
6 mean, as soon as I see somebody said a hundred percent  
7 above the limit of detection. I still -- my mind  
8 immediately says, well, what number? What were the  
9 numbers in that set? I mean, they were all above the  
10 limit of detection. But is that like 10 times the limit?  
11 I mean, where were they? I'd like to see some -- I'd like  
12 some knowledge of where the quantity is. Maybe just my  
13 brain is too quantitative.

14 CHAIRPERSON LUDERER: Dr. Solomon.

15 PANEL MEMBER SOLOMON: I agree with Dr. Fenster  
16 that mixing cord blood in, it is probably going a little  
17 too far. I don't -- it actually doesn't really give me  
18 heartburn to put, you know, people from the Central Valley  
19 and firefighters and pregnant women from San Francisco all  
20 into one sort of uber data set for purposes of, sort of,  
21 summarizing results, but putting cord blood in just  
22 because of the physiology, it's different.

23 And I think that that should not be -- you know,  
24 it's not an either/or about breaking out the data. I  
25 think that as soon as the data from the substudies can be

1 made available, that's even more important. But if we're  
2 at a point right now of trying to kind of give the  
3 Legislature and the public sort of a very general sense of  
4 what the Program has done, I think that would -- you know  
5 it's acceptable to do this.

6           Should I move on to any of the other questions or  
7 should I -- are we still going to stick with this?

8           CHAIRPERSON LUDERER: Does anyone else -- maybe  
9 we'll just see if any of the other Panel members have  
10 additional comment or input on this set of questions?

11           Dr. Culver.

12           PANEL MEMBER CULVER: I feel there's an  
13 underlying theme to all of the comments. And that is a  
14 concern for what our initial objectives were for this  
15 Program.

16           MS. HOOVER: Can you get a little closer?

17           PANEL MEMBER CULVER: I'm sorry. Shall I go back  
18 or did you hear enough?

19           PANEL MEMBER CULVER: I said I feel there's a  
20 common theme to much of the comments that have been voiced  
21 so far, that underlying them is this concern for how  
22 relevant what we're doing is to the original objectives of  
23 the Program as set forth by the Legislature.

24           And I just wonder if there isn't some way that we  
25 can sort of chart our course, as we work toward that

1 ultimate goal, and to show the incremental steps as they  
2 occur, because indeed the picking up of populations here  
3 and there and developing analytical capabilities in  
4 certain groups of chemicals, all fit in to what the  
5 ultimate assembly of information is that will ultimately  
6 become the Biomonitoring Program of California.

7 CHAIRPERSON LUDERER: Thank you. Just also to  
8 maybe try to summarize a little bit what the Panel  
9 comments have been. I think several of the Panel members  
10 expressed opinions that they would like to maybe see more  
11 information about what the chemical concentrations were,  
12 more than just detection frequency, but then I think there  
13 also seems to be a broad agreement among the Panel members  
14 that combining the cord blood with adult or serum is not  
15 appropriate in terms of, you know, summary measures and  
16 that perhaps summary measures -- I think there was a  
17 little diversity of opinion as to, even excluding the cord  
18 blood, whether summary measures like median and mean are  
19 appropriate for data -- these disparate data sets --  
20 somewhat disparate data sets that are being analyzed here.

21 One additional thought that I had, and it would  
22 be interesting to hear what other Panel members thought,  
23 was whether it might be possible to -- and this goes along  
24 with what several other people said about the NHANES data,  
25 to provide the percentage of -- kind of analogous to

1 detection frequency, the percentage that were above the  
2 95th percentile of NHANES perhaps or, you know, above the  
3 50th percentile.

4 So not actually giving median or mean, but that  
5 gives more of a sense of, you know, were there high  
6 values, I think, which might get a little bit at what Dr.  
7 McKone was talking about.

8 And then I think another thing that might be  
9 helpful, even though there are only two chemicals, lead  
10 and mercury, for which you have levels of concern, perhaps  
11 to talk about those values that were above the level of  
12 concern, which I think that venue can highlight the -- you  
13 know, one of these success stories of the Program already  
14 of discovering the elevated mercury and the tracing that  
15 back to the source and then the health alerts.

16 And I think that, you know, maybe is the type of  
17 thing that Dr. Kavanaugh-Lynch was saying that would be,  
18 you know, showing why this is important and what some of  
19 the benefits of the program are.

20 So I don't know if other Panel members had  
21 additional comments related to that question.

22 Dr. Kavanaugh-Lynch.

23 PANEL MEMBER KAVANAUGH-LYNCH: I will chime in  
24 here that I'm like -- I'm on board with what most of the  
25 rest of you have said, and agree that maybe ranges are

1 okay, but medians are kind of meaningless when it's not a  
2 representative sample.

3           An alternative to providing all of the data,  
4 which, of course, all of us are itching to see, but is  
5 problematic in a number of ways, is what I was thinking of  
6 in terms of implications, is to pick out anything that was  
7 interesting. So I think the mercury and the lead are two  
8 of those. Anything else that you have seen that you were  
9 like, "Wow, that's -- Boy, that's high for -- compared to  
10 NHANES", and using those as tidbits.

11           Like, you know, all this data is preliminary, but  
12 thus far we have, you know, seen -- the data has been  
13 interesting in the following ways in providing little  
14 tidbits. And I also could see very much when I was  
15 reading this seeing -- you know, pull quotes from -- you  
16 know, a quote from a firefighter having received their  
17 results, like what they said about -- what it meant to  
18 them to see their results, a quote from one of the  
19 mothers, you know, that provides some, again, context and,  
20 "So what?" to the whole thing.

21           DR. DAS: I'll just respond. Certainly, we  
22 could -- we'll consider including the additional  
23 information in the -- what I'm hearing is the range seems  
24 to be what the Panel members have agreed to for the -- for  
25 our combined results, certainly we can present the range.

1 The range is not available for NHANES. The 95th  
2 percentile or percentiles are available for NHANES, and  
3 the level of detection, that kind of information, is  
4 available.

5 So if we were to present a range, we wouldn't  
6 have a comparable range for NHANES. We would have  
7 percentiles, but we can certainly present something that's  
8 comparable in NHANES.

9 In terms of the other information -- and  
10 certainly your points about highlighting chemicals for  
11 which there are levels of concern, like lead, mercury, and  
12 cadmium has an occupation standard as well, we can  
13 consider pulling out some message from the data from  
14 those.

15 In terms of quotes from participants, I think  
16 we'll have to discuss as a Program if that is something --  
17 that's currently not something we're gathering, so that's  
18 something we would have to discuss, whether that's  
19 possible to include.

20 CHAIRPERSON LUDERER: Dr. Fenster.

21 DR. FENSTER: Hi. Rupa, also I heard above the  
22 95th percentile. And I don't know if that's something  
23 that the Panel came to agreement on, that versus the  
24 range, or that in addition to the range. We'd like just  
25 some clarification, I think.

1 CHAIRPERSON LUDERER: Dr. Solomon.

2 PANEL MEMBER SOLOMON: Yeah. I actually like Dr.  
3 Luderer's suggestion to, for example, present what percent  
4 of the Californians monitored so far fall over the 50th  
5 percentile say in NHANES. And so if, you know, 20 percent  
6 of the Californians sampled so far are over the 50th  
7 percentile in NHANES, that would suggest that perhaps, you  
8 know, at least one hypothesis would be that Californians  
9 might be less exposed than the U.S. population.

10 And if 80 percent of Californians are over the  
11 50th percentile for NHANES of the small -- of the sample  
12 so far, that might encourage people to, you know, get  
13 interested in looking at that. So I think that actually  
14 does raise -- is a nice way of possibly presenting that.

15 And I very much liked also the suggestions from  
16 Dr. Kavanaugh-Lynch about additional material to include.

17 CHAIRPERSON LUDERER: Dr. McKone.

18 PANEL MEMBER MCKONE: Yeah. I want to concur  
19 with Dr. Solomon. I think, if you pick a bench -- you  
20 know, you have to be careful not to refer to means and  
21 medians and you don't have a 95th percentile, because it's  
22 not that kind of sample. But picking a benchmark from  
23 NHANES, and then ranking on either side of that is very  
24 useful. And it's -- you know, it's informative, and it's  
25 not going outside of the bounds of what you can do with

1 this kind of data, so it's probably a good idea.

2 CHAIRPERSON LUDERER: Dr. Wilson.

3 PANEL MEMBER WILSON: So if I understand it, is  
4 the idea that the report would say this is the percentage  
5 of the results that fall above the NHANES median.

6 PANEL MEMBER SOLOMON: Yeah.

7 PANEL MEMBER WILSON: And it's a percentage of  
8 findings, or of samples, that fell above that. Is that  
9 the idea?

10 PANEL MEMBER SOLOMON: As I understand it.

11 PANEL MEMBER MCKONE: Right, or I would say just  
12 pick a benchmark. It doesn't have to be the median. I  
13 mean, that's a nice one to pick, but it's the idea of when  
14 you have data that is somewhat scattered, comes from  
15 different populations, was not intended to be -- I mean,  
16 if there's problems with it, you want to be very careful  
17 not to compare it to NHANES in any systematic way that  
18 would suggest the data you had was collected in a  
19 comparable way, but picking a benchmark, right? I mean,  
20 something that is -- I mean, by a benchmark, I mean,  
21 something that has some meaning, right, and then putting  
22 our information around it, like above/below.

23 We're not actually trying to do a statistical  
24 analysis. We're just saying here's a benchmark. We're  
25 all up here or we're all down there, or we're kind of

1 right in the middle, and that's all you're saying.

2 PANEL MEMBER WILSON: What would that benchmark  
3 be in the NHANES data?

4 PANEL MEMBER MCKONE: I would say median, but if  
5 there's some -- there might be some reason. I wouldn't  
6 want to lock -- you know, say, walk away saying you have  
7 to use the 50th percentile. I think I would give the  
8 staff a lot of latitude to figure out what's a reasonable  
9 benchmark to use within the limit of detection.

10 Right now, we've used the limit of detection.  
11 And everything is above the limit of detection, so that's  
12 not informative -- or not every, but most of it is. So  
13 that's not informative anymore, so you'd like to pick some  
14 other anchor point that would help you see, oh, well,  
15 here's how it compares to another benchmark.

16 CHAIRPERSON LUDERER: Dr. Fenster.

17 DR. FENSTER: I've been asked just to convey  
18 questions for clarification for the staff. As many of you  
19 have known that have compared data to NHANES, we would  
20 be -- usually, there's a lot of discussion about which  
21 years to use in these studies. The aggregate table  
22 combined data from 2005 through 2010.

23 So, you know, basically we may have follow-up  
24 questions as to what years to be choosing the reference  
25 data, and we also have children through adults. So again,

1 typically, we try and find the NHANES population that is  
2 most comparable to whatever study we're doing. So we face  
3 challenges and we may just come back to you and ask your  
4 input when we're trying to proceed. If you have any  
5 suggestions now.

6 PANEL MEMBER WILSON: I guess one of the -- I  
7 mean, one of the issues here is who the audience is. And  
8 if the audience, in particular, is members of the  
9 Legislature and reporting on progress under the Program  
10 and so forth, it's probably helpful not to get into a  
11 large amount of detail and discrimination around the  
12 different findings and so forth. And that reporting a  
13 detection frequency in some measure of -- I think, you  
14 know as we're suggesting here, some basic measure of  
15 comparison with NHANES where we can, and keeping it fairly  
16 simple, is a useful thing. Also, recognizing that it has  
17 a lot of limitations.

18 But it feels to me like we're sort of trying to  
19 parse something that's scientifically sound, but also --  
20 but against something that's sort of a message that can be  
21 delivered to a fairly distracted legislative body. You  
22 know, so there's sort of two things we're trying to  
23 reconcile here it seems.

24 CHAIRPERSON LUDERER: Sara

25 MS. HOOVER: Hi. Sara Hoover, OEHHA.

1           Yeah, we really appreciate all this feedback.  
2 And given the short time, what I'm wondering is kind of  
3 following on what Laura just asked, if we could identify  
4 maybe one Panel member who we could go back to with some  
5 of these detailed questions, because a lot of the  
6 suggestions that were made we've spent months kind of  
7 going through them, and looking at them, and trying to  
8 figure out.

9           And so we brought it to the Panel for your input.  
10 But if we pursue them, we'll probably have follow-up  
11 questions. So if there could be one Panel member -- we  
12 can't have a group, obviously, because of the Bagley-Keene  
13 limitation. But if there's one Panel member who could  
14 volunteer to be a sounding board as we go through these  
15 issues, that would be really helpful. Then we could move  
16 on to the other questions and continue the discussion  
17 questions.

18           CHAIRPERSON LUDERER: Is it permissible to have  
19 two Panel members? We have a question from the Panel.

20           CHIEF COUNSEL MONAHAN-CUMMINGS: (Nods head.)

21           MS. HOOVER: Carol, our legal counsel, says, yes,  
22 it's permissible to have two.

23           CHAIRPERSON LUDERER: Do we have volunteers from  
24 the Panel?

25           Dr. McKone, are you volunteering?

1 PANEL MEMBER MCKONE: I will.

2 MS. HOOVER: Great.

3 CHAIRPERSON LUDERER: And Dr. Solomon.

4 MS. HOOVER: Okay. Great. Thank you very much.

5 CHIEF COUNSEL MONAHAN-CUMMINGS: That was an  
6 informal discussion and an informal designation, right,  
7 you weren't formally designating a subcommittee, correct?

8 CHAIRPERSON LUDERER: Correct.

9 (Laughter.)

10 CHAIRPERSON LUDERER: So we need to move on to  
11 some of these other discussion questions, since, I guess,  
12 we only have 10 minutes allotted, and there's still quite  
13 a bit to discuss.

14 Dr. Solomon, you earlier had said that you wanted  
15 to make a comment on one of the other discussion  
16 questions. Which --

17 PANEL MEMBER SOLOMON: Sure. And just to go back  
18 quickly to the last one. I think what may have been  
19 reflected in the discussion that just happened is a little  
20 bit of like, you know -- I mean, I know from my  
21 perspective that I felt like I wasn't quite seeing enough  
22 information to feel satisfied in that table. And that  
23 just may be the way it's going to have to be.

24 What I was -- I'm encouraging the staff to do is  
25 to sort of look at ways -- at the possibility of figuring

1 out, you know, is it possible to provide a little bit more  
2 flesh, a little more information to make that table feel  
3 more satisfying. And it may not be really doable within  
4 the current time allotted to get this report finalized.

5           And if so, then perhaps something such as what  
6 Dr. Kavanaugh-Lynch suggested, which would be, you know,  
7 pulling out a couple of little examples and fleshing those  
8 out, instead of trying to, you know, do a lot more number  
9 crunching and expand the table. That actually could  
10 provide that sort of sense of greater satisfaction of the  
11 data.

12           So I think there are various ways that would all  
13 be okay for moving this forward. It doesn't have to be,  
14 you know, going with that 50th percentile of NHANES,  
15 though was -- I liked the suggestion.

16           So going to the other one, the three main message  
17 content. So I really like numbers one and two. And I'm  
18 sympathetic to number three, which was that Biomonitoring  
19 California has leveraged limited State resources through  
20 successful collaborations, though my concern is it sounds  
21 a little bit -- I don't know. It sounds a little bit like  
22 you're sort of trying to make a political more than a  
23 scientific point with that. And it just -- it makes me a  
24 little nervous.

25           And in some ways, some of the things that are

1 buried below that bullet or headline might be even more  
2 exciting to people. You know, that the Program has  
3 studied more than 10 populations across California,  
4 including pregnant women, firefighters, residents of  
5 agriculture communities, and pre-adolescent girls.

6 I could actually see moving that phrase up into  
7 the header, and putting the leveraging limited State  
8 resources into the paragraph below, because I think it's  
9 huge that we've, you know, studied pregnant women,  
10 firefighters. You know, all of these different  
11 populations are -- you know, should be up in lights from  
12 my perspective, and they're sort of buried right now.

13 DR. DAS: That's a great suggestion. Thank you.

14 CHAIRPERSON LUDERER: Comments from other Panel  
15 members regarding this question about the main messages.

16 Sara.

17 MS. HOOVER: I just had a follow-up question,  
18 because of the earlier comments that I thought were very  
19 interesting about trying to provide some context about --  
20 we've looked for and found in some messaging around this  
21 is not -- it's not representative of a lot of things,  
22 including possibly what's actually in our bodies,  
23 necessarily. So I'm wondering if that might be a message  
24 that we should think about commenting on in some way in  
25 the report, following back to those earlier comments.

1 CHAIRPERSON LUDERER: Dr. Wilson.

2 PANEL MEMBER WILSON: Thank you. I agree with  
3 that. I mean -- and also agree, you know, with Dr.  
4 Solomon's comment. I think that it is exactly right to be  
5 flipping that paragraph. But it's something, from what  
6 I'm hearing you say and I think it makes sense, to say  
7 something about we've captured some piece of the universe,  
8 but here are the limitations. Just to put it in that  
9 context, I think would be a helpful addition here.

10 CHAIRPERSON LUDERER: I'm wondering whether  
11 perhaps it would be helpful for you to clarify, or are you  
12 thinking of clarifying it within the second bullet point  
13 or adding another point about that.

14 PANEL MEMBER WILSON: Yeah. I think it probably  
15 could be within one of these paragraphs, because paragraph  
16 two or three, in that, you know, we can -- for example, in  
17 paragraph two, we can now analyze approximately 100  
18 different chemicals in blood or urine, and also in  
19 paragraph three about the 10 populations. So a sentence  
20 that says something to the effect of -- that sort of  
21 answers this question in people's minds of, oh, did we  
22 take a sample of blood and screen for 80,000 chemicals and  
23 this is what we found, or this is what we looked for and  
24 this is what we found. That seems to be an important  
25 piece of information for people to interpret --

1 interpreting these findings.

2 DR. DAS: Right. I think Dr. McKone had brought  
3 up that issue in the past. So we can incorporate it into  
4 one of these paragraphs.

5 CHAIRPERSON LUDERER: Dr. Solomon.

6 PANEL MEMBER SOLOMON: Well, if we're going on to  
7 other questions, the next set of questions on Slide 21, I  
8 actually think -- I think the groupings of chemicals are  
9 relevant -- are fairly useful and understandable. I can't  
10 think of a better way to do it. I mean, it's going to be  
11 a little technical for some audiences, but I'm not sure  
12 there's any way to fix that that I can think of.

13 I think that information on method detection  
14 limit and all of the technical aspects, at some point, it  
15 would be nice to have something like that as an on-line  
16 appendix, where there would be a link in a document like  
17 this for people who want to then click on that and get a  
18 more technical document that has all of that information  
19 in it. I don't know that that needs to be prioritized and  
20 done immediately, but it should be done at some point.

21 And then I love Figure 1. Figure 1 is a, "Wow".  
22 You know, an, "Oh, my God. I can't believe this". So I  
23 think that's something that we need to -- you know, that  
24 should be like very strongly highlighted with every  
25 audience and every presentation that's out there, because

1 it shows this fantastic progress.

2 DR. DAS: I wanted to acknowledge that Figure 1  
3 was Amy Dunn's brainchild. So I thank her for it.

4 (Applause.)

5 CHAIRPERSON LUDERER: Dr. Kavanaugh-Lynch.

6 PANEL MEMBER KAVANAUGH-LYNCH: I'm partly holding  
7 back, because I'm like -- we can word-smith, and that  
8 would not be particularly helpful. But I've decided this  
9 maybe warrants being said.

10 So just based on what the comments that have been  
11 said thus far, there are a couple things I could see doing  
12 in here. One is incorporating the suggestion about "have  
13 looked for and found" into the wording of the first  
14 message. That seems to me a good place to do that.

15 And then when they -- in the second paragraph  
16 describing the second message that the Program's  
17 laboratories can now analyze approximately a hundred  
18 different chemicals. You know, a hundred different  
19 chemicals that have been prioritized as of potential  
20 concern to the people of California. You know, something  
21 to say not just any hundred, but a hundred that we  
22 carefully chose for reasons.

23 And then the last thing is possibly also  
24 incorporating that concern about what are we missing out  
25 on by only looking at these 100. With Gina's suggestions

1 for the future and the like potential of in the future  
2 looking -- screening for unknowns. That, you know,  
3 somehow incorporating that, you know, the 100 -- among the  
4 hundred that we think are important, as well as developing  
5 methods for looking for things we don't know are important  
6 yet. And I stop wordsmithing.

7 Thank you.

8 DR. DAS: Thank you. Those are really important  
9 suggestions. Thank you for those.

10 CHAIRPERSON LUDERER: Dr. Alexeeff.

11 OEHHA ACTING DIRECTOR ALEXEEFF: George Alexeeff.

12 Yeah, I just wanted to add. It might be useful  
13 to, at some point, refer to the NAS document that was  
14 talking about biomonitoring, and the importance of  
15 building infrastructure within the States for lots of  
16 reasons. And, although, this is great, we're  
17 biomonitoring, but there's other reasons we need to have a  
18 very strong ability to do this in terms of for, you know,  
19 other reasons, you know, terrorism, whatever, that kind of  
20 stuff.

21 So I think that's something that maybe we could  
22 look at the NAS document and have some simple statement  
23 saying that we're moving along the importance of building  
24 the infrastructure as suggested by the Academy of  
25 Sciences.

1           PANEL MEMBER SOLOMON: I'd strongly endorse that.  
2 This is Gina Solomon.

3           CHAIRPERSON LUDERER: I would as well.  
4 Dr. Wilson.

5           PANEL MEMBER WILSON: Just a small point about  
6 occupational exposure limits, that I would be a little bit  
7 concerned about comparing any of these findings to  
8 occupational exposure limits, in part because, you know,  
9 there's so -- you know, choosing the -- you know, which  
10 one we're going to use. But the OELs are just so  
11 antiquated in so many ways, that I would -- it just -- it  
12 seems like an artificial comparison to me.

13           And so I guess I would have some concern about  
14 putting those in. And I think I'd heard on the Panel  
15 somewhere that -- or a suggestion that we do that. Was  
16 that --

17           MS. HOOVER: No.

18           DR. DAS: No, I think I mentioned that cadmium  
19 has a biological exposure index, which is used for  
20 occupational purposes, but we wouldn't necessarily put  
21 that in the document.

22           PANEL MEMBER WILSON: Okay. Thank you.

23           CHAIRPERSON LUDERER: Dr. Culver.

24           PANEL MEMBER CULVER: Since occupational exposure  
25 limits has come up, maybe there's a little something that

1 we can draw from our understanding of occupational  
2 exposure limits.

3           There are variously estimated to be 60,000 to  
4 more than 100,000 different chemicals that are used in  
5 industry today. And, yet, we have occupational exposure  
6 limits to only about 500, but -- and everybody cries doom  
7 and horror that we don't have more exposure limits in view  
8 of the massive numbers of chemicals that exist in  
9 industry.

10           I'm on an agent -- or an organization that sets  
11 occupational exposure limits. And we're having a hard  
12 time finding what other chemicals that are used in  
13 industry we should be writing exposure limits for.  
14 There's a kind of a natural process that causes us to  
15 think that an exposure limit is needed, and versus the  
16 amount of difficulty in coming up with an exposure limit,  
17 so that it's the -- it's big chemicals in industry and  
18 they're not all that many. It's the chemicals that are --  
19 have lots and lots of people exposed. But again, there  
20 are not that many.

21           So there's a natural process that I think will  
22 also go on in our attempting to identify chemicals that  
23 should be listed, that is, I think, already in operation.  
24 We are already hitting the important ones, and we're going  
25 to have more and more difficulty identifying which others

1 have that level of importance. I just thought that's an  
2 interesting possible simile that I'd like to call the  
3 Panel's attention to.

4 CHAIRPERSON LUDERER: Do we have any other  
5 comments, points of discussion on these questions from  
6 Panel members. And also I wanted to ask the Program staff  
7 whether there's anything in particular that you feel we  
8 have not hit on in our discussion that you would like us  
9 to.

10 DR. DAS: The next.

11 MS. HOOVER: The next question.

12 DR. DAS: Yeah. I don't think we've addressed  
13 all of the questions. I think Dr. Solomon did address  
14 this question about Table 1 and Figure 1, but I didn't  
15 hear input from others on this. And then this question  
16 about the project collaborations with regard to the data  
17 summary report, and the public release, whether there was  
18 any input on that.

19 CHAIRPERSON LUDERER: Any comments, responses on  
20 that from Panel members?

21 I mean, I might just add regarding the Figure 1,  
22 I completely concur that that's wonderful and definitely  
23 want to keep that and not make changes, I think.

24 Other comments or questions from Panel members  
25 about this particular question, which we really haven't

1 discussed, about the collaborations and the level of  
2 detail?

3 DR. DAS: I did hear one suggestion from Dr.  
4 Wilson, which was to specifically mention the Orange  
5 County Fire Authority. I wasn't sure if you meant within  
6 the context of FOX.

7 PANEL MEMBER WILSON: Thank you. Yeah,  
8 definitely within the context of FOX, but it wasn't the  
9 Orange County Fire Authority, but the firefighters union  
10 that participated.

11 DR. DAS: Oh, the Orange County Fire Authority  
12 Oversight Committee actually is the entity that we  
13 collaborated with. And the oversight committee consists  
14 of labor and management together. We certainly did  
15 interact with the union, but I would say it was more the  
16 Orange County Fire Authority Oversight Committee, which is  
17 the joint labor management team that we participated with.

18 PANEL MEMBER WILSON: I think that's an important  
19 distinction actually to call out, that the joint labor  
20 management -- it can be described as the joint labor  
21 management Orange County Fire Authority committee --

22 DR. DAS: Oversight Committee.

23 PANEL MEMBER WILSON: Oversight Committee. It's  
24 important for the people who I work -- I'm working with  
25 that are union leadership and so forth, to know that this

1 was a collaborative project, that -- you know, that  
2 involved the membership and so forth.

3 DR. DAS: Certainly. I agree with you. And the  
4 point that's important is that it was a labor management  
5 team that we've worked with, and so we'll include that  
6 information.

7 PANEL MEMBER WILSON: That's great. Thank you.

8 CHAIRPERSON LUDERER: And I think that the level  
9 of information that's provided in the tables is quite  
10 useful. And I think it really captures the diversity of  
11 these different populations, and nicely shows how all the  
12 different groups of chemicals that have been biomonitored  
13 in those populations, as well as the geographic  
14 distribution of where the populations come from. And then  
15 the paragraph format describing what the different studies  
16 were, I think, the level of detail for me, I think, is  
17 sufficient.

18 Do any of the other Panel members have additional  
19 comments about that, disagree, agree?

20 Dr. Wilson.

21 PANEL MEMBER WILSON: Yeah. I guess I'm  
22 wondering if it would be, looking at Table -- I guess, are  
23 we on Table 2? Did we look at Table 2? Yeah.

24 The, you know, chemicals being biomonitored if  
25 it's -- if it would add too much noise to put the

1 percentage -- you know, identified next -- you know, in  
2 each of the columns. So within each of those, you know,  
3 within each of the projects.

4 So for the BEST study, it would say PBDEs and a  
5 column next to it, it would be a split column. It would  
6 say detected or it would be biomonitored, and then the  
7 next column next to it would be percent detected. Is  
8 that --

9 DR. DAS: Okay. Are you suggesting that there's  
10 a combination of Table 2 and 3 then in one table?

11 PANEL MEMBER WILSON: Well, I'm asking a  
12 question. If that's going to generate too much noise or  
13 if that's...

14 DR. DAS: Yes. The issue there is that that  
15 would be -- that's a way of presenting individual study  
16 information. So I think at the point that we feel we've  
17 conducted enough analyses to present individual study  
18 information, we would choose to do that in an  
19 understandable format.

20 But I think the way you're suggesting it is  
21 presenting individual study information on top of the  
22 information that's presented in Table 2.

23 PANEL MEMBER WILSON: And we don't have that  
24 information yet, is that the point?

25 DR. DAS: For BEST we don't.

1 PANEL MEMBER WILSON: Right.

2 DR. DAS: Yeah. We do for some studies, but  
3 we -- as we've been talking awhile, we've presented  
4 aggregated data so far. Although, we do plan to present  
5 individual study information. At that point, I think  
6 we'll pull out the information that's meaningful and  
7 present the study on its own.

8 PANEL MEMBER WILSON: Yes. Okay. Thank you.

9 CHAIRPERSON LUDERER: All right. I think if we  
10 have no more comments from panel members, and since we are  
11 a little bit behind our schedule, then we'll move on to  
12 the next presentation.

13 So I'd like to introduce Dr. Gail Krowech, staff  
14 toxicologist at OEHHA, who will present a non-halogenated  
15 aromatic phosphates for consideration as potential  
16 designated chemicals.

17 Dr. Krowech.

18 (Thereupon an overhead presentation was  
19 Presented as follows.)

20 DR. KROWECH: Good afternoon. Okay. I wanted to  
21 start out by reminding everyone what designated chemicals  
22 are. Designated chemicals can be considered by -- are  
23 chemicals that can be considered for biomonitoring. And  
24 they are chemicals that are part of the CDC's National  
25 Reports on Human Exposure to Environmental Chemicals

1 Program. The Panel can also recommend additional  
2 designated chemicals for inclusion in the program using  
3 specific criteria, which I'll go over in a moment.

4 --o0o--

5 DR. KROWECH: First, I wanted to briefly provide  
6 some background on how we came to be looking at  
7 non-halogenated aromatic phosphates. Triphenyl phosphate  
8 was presented as one possible plasticizer to investigate  
9 for designation at a Panel meeting in November 2010. And  
10 at that meeting, the Panel was most interested in our  
11 following up on organophosphate plasticizers and flame  
12 retardants.

13 --o0o--

14 DR. KROWECH: The Program presented a screen of  
15 organophosphate -- non-halogenated organophosphate flame  
16 retardants at the March 2011 Panel meeting. And at that  
17 meeting, the Panel requested that the Program prepare a  
18 potential designated document on non-halogenated aromatic  
19 phosphates.

20 --o0o--

21 DR. KROWECH: This slide shows the criteria for  
22 the Panel to recommend designated chemicals. They are:  
23 exposure or potential exposure, known or suspected health  
24 effects, the need to assess the efficacy of public health  
25 actions, the availability of a biomonitoring analytical

1 method, the availability of adequate biospecimen samples,  
2 the incremental analytical cost.

3 --o0o--

4 DR. KROWECH: A number of agencies are concerned  
5 about aromatic phosphates because of their growing use.  
6 And I will be talking about some of them in a few minutes.

7 --o0o--

8 DR. KROWECH: First, I just wanted to say  
9 something about the structures. This right here is a  
10 triphenyl phosphate. The phosphate in the middle, and  
11 aromatic -- the three aromatic rings. For tricresyl  
12 phosphate is an example of an aromatic phosphate with a  
13 substituent on the aromatic ring. And this could be -- in  
14 this case, it's a methyl group, but it could be branched.  
15 And here's one final example showing that it doesn't have  
16 to have three aromatic groups. This case there are two  
17 aromatic groups. It's a diphenyl phosphate.

18 --o0o--

19 DR. KROWECH: Here are two more structures. This  
20 first one, as the name implies, has a bisphenol A center.  
21 And it is joined by diphenyl phosphate at the two hydroxyl  
22 groups. And this one is resorcinol bis(diphenyl  
23 phosphate). The same idea with the two diphenyl  
24 phosphates at the hydroxyl groups.

25 --o0o--

1 DR. KROWECH: This slide shows some uses of flame  
2 retardants -- uses as flame retardants in plasticizers.  
3 This group is used for polyvinyl chloride and other  
4 plastics. It's uses in consumer electronics. It's used  
5 in polyurethane foam, in textiles as backcoating and  
6 artificial leather, in paints and varnishes and in  
7 hydraulic fluids.

8 --o0o--

9 DR. KROWECH: And this slide shows some examples  
10 of flame retardant plasticizers of use as flame retardants  
11 in -- not as plasticizers in plastics. Some of these  
12 aromatic phosphates are used -- are being used as  
13 replacements for deca-BDE, which is being phased out. In  
14 the plastic housing for televisions, and those are  
15 bisphenol A bis(diphenyl phosphate), resorcinol  
16 bis(diphenyl phosphate) and triphenyl phosphate are all  
17 major use -- phosphates used for this purpose.

18 There's also a lot of use of aromatic phosphates  
19 in computers, in screens, keyboards, printers, in the  
20 mouse. And triphenyl phosphate is probably the most  
21 frequently found of these, but tricresyl phosphate has  
22 also been found in consumer electronics.

23 --o0o--

24 DR. KROWECH: Another use of aromatic phosphates  
25 is in polyurethane foam. The U.S. Consumer Products

1 Safety Commission staff had concerns about the increasing  
2 use of aromatic phosphates, and recommended them -- six  
3 representative aromatic phosphates to the NTP for testing.

4           These three aromatic phosphates here have been  
5 found in polyurethane foam. The first two isopropylated  
6 triphenyl phosphate and triphenyl phosphate are contained  
7 in Firemaster 550, which is a primary replacement for  
8 penta-BDE as a flame retardant in polyurethane foam, and  
9 butylated triphenyl phosphate has been found in  
10 polyurethane foam as well.

11           --o0o--

12           DR. KROWECH: Here's the uses of plasticizers.  
13 The structure here is ethylhexyl diphenyl phosphate. And  
14 it's been -- it's approved for use in food contact  
15 packaging. It's been used for a long time. And here I'm  
16 just giving some examples of levels of ethylhexyl diphenyl  
17 phosphate that have been found in food.

18           --o0o--

19           DR. KROWECH: This slide shows some other  
20 household and consumer uses in curtains, upholstery  
21 fabric, wallpaper and nail polish, dog flea colors,  
22 biogradable(sic) tampon ejectors, tubings for skinless  
23 sausages.

24           --o0o--

25           DR. KROWECH: This slide just shows levels of two

1 of these aromatic phosphates in house dust, triphenyl  
2 phosphate and tricresyl phosphate. The triphenyl  
3 phosphate is shown for two studies, one in Belgium and one  
4 in Boston in the U.S., Boston, Massachusetts.

5 The important thing about the study is that  
6 detection frequency, it's almost always found. You can't  
7 really compare these two studies, so this slide just  
8 represents examples of levels that have been found.

9 --o0o--

10 DR. KROWECH: And another study looking at house  
11 dust, this one from a study in Sweden. And they compared  
12 home, office, and day care, 10 homes, 10 offices, 10 day  
13 cares for -- and two aromatic phosphates were looked at  
14 here. And one of the things to note is looking at  
15 triphenyl phosphate, the levels are much higher in offices  
16 than homes, and that probably reflects use in electronic  
17 equipment and computers.

18 --o0o--

19 DR. KROWECH: This slide shows a comparison of  
20 flame retardants in house dust. It looks at triphenyl  
21 phosphate compared to TDCPP, which is one of the primary  
22 replacement flame retardants for penta-BDE in polyurethane  
23 foam. And it also has two penta -- two PBDE congeners  
24 from the penta-BDE mixture that were used in  
25 polyurethane -- that are in polyurethane foam, probably in

1 most houses still. And you can see that the levels of  
2 triphenyl phosphate are high compared to these.

3 And if you convert this to moles and look at in  
4 terms of moles instead of mass, it's even higher.

5 --o0o--

6 DR. KROWECH: In terms of known or suspected  
7 health effects, the amount of toxicity information that  
8 was located varied widely among the aromatic phosphates  
9 that were highlighted in the document. And as we always  
10 note, we did not conduct a thorough literature review for  
11 this document.

12 In the document, we also included some  
13 information submitted under the REACH Program, but here  
14 I'll be providing you with a sample of known or suspected  
15 health effects, largely based on the published literature.  
16 And I'm not going to be presenting everything that was in  
17 the -- each of the chemicals that were highlighted in the  
18 document, but just a few of them.

19 So for the first example bisphenol A bis(diphenyl  
20 phosphate). The concern is possible metabolism to  
21 bisphenol A and/or bisphenol A diphenyl phosphate. So  
22 that would be a loss of one of the diphenyl phosphate  
23 moieties, and the biological activity of that molecule  
24 isn't known.

25 For butylated triphenyl phosphate, this

1 chemical -- this -- well, it's a group isomers. Butylated  
2 triphenyl phosphate is on Grandjean and Landrigan's list  
3 of chemicals known to be neurotoxic to humans. It's also  
4 reported as reproductive -- to have reproductive toxicity  
5 at high doses. As I said, this is a mixture of isomers.  
6 And one of those isomers is t-butylphenyl diphenyl  
7 phosphate, which was included in the document.

8 --o0o--

9 DR. KROWECH: Isopropylated triphenyl phosphate  
10 is also a mixture of isomers. And U.S. EPA in a hazard  
11 characterization document for the High Production Volume  
12 chemicals program reported its neurotoxicity in hens. In  
13 vitro it's shown an effect on human androgen receptor  
14 activity. Several of the isomers have shown an effect,  
15 and they've either increased or decreased the activity  
16 depending on the position of the isopropyl group.

17 And almost all of them activate two human nuclear  
18 receptors that are important in the expression of specific  
19 drug metabolizing enzymes, such as cytochrome P450.

20 Tricresyl phosphate is the one aromatic phosphate  
21 that has been studied most, and most information is  
22 available about it.

23 The O of cresyl phosphate -- o-tricresyl  
24 phosphate is neurotoxic. And under current production  
25 methods only para- and meta-tricresyl phosphate isomers

1 are produced. The ortho-tricresyl phosphate is reported  
2 to consist of less than 0.1 percent.

3 Tricresyl phosphate is also -- has also shown  
4 reproductive toxicity, including decreased fertility and  
5 effects on the testes and ovaries. It's the only aromatic  
6 phosphate that has had an adequate carcinogenicity study.  
7 It was negative in this bioassay. But the study found  
8 effects in the adrenal cortex, which had suggested changes  
9 possibly in steroid metabolism.

10 In in vitro studies, one of these isomers,  
11 P-tricresyl phosphate also activated the two human nuclear  
12 receptors mentioned above.

13 --o0o--

14 DR. KROWECH: For triphenyl phosphate, levels in  
15 house dust were associated with a decrease in sperm  
16 concentration and an increase in prolactin levels in a  
17 study of 50 men recruited from an infertility clinic.  
18 Triphenyl phosphate is also on Grandjean and Landrigan's  
19 list of chemicals that are neurotoxic to humans.

20 In vitro, triphenyl phosphate decreased the  
21 activity of the human androgen receptor in one study. In  
22 another study, it showed moderate binding to the androgen  
23 receptor. And it also increased the activity of the two  
24 nuclear receptors, CAR and PXR.

25 --o0o--

1 DR. KROWECH: As I mentioned, the staff from the  
2 Consumer Products Safety Commission recommended  
3 non-halogenated aromatic phosphates to NTP. NTP approved  
4 the request, and they have planned studies, in vitro  
5 studies on six representative aromatic phosphates.  
6 They'll be studying neurotoxicity, reproductive toxicity,  
7 effects on liver enzymes and steroidogenesis.

8 NTP also plans two in-depth in vivo studies --  
9 plans in vivo -- in-depth in vivo studies on two aromatic  
10 phosphates. One of them will be triphenyl phosphate and  
11 another will be a branched aromatic phosphate. And they  
12 will be studying both in rats and mice. They'll be  
13 looking at neurotoxicity, immunotoxicity, and reproductive  
14 and developmental toxicity.

15 --o0o--

16 DR. KROWECH: In terms of the physical chemical  
17 properties of this group, for most of the aromatic  
18 phosphates the pure chemical is not evaluated, so it's  
19 very difficult to sort all of this out. Many of the  
20 chemicals were contaminated with triphenyl phosphate.  
21 Others were components of the mixture or mixtures of  
22 isomers. And so there were multiple values in the  
23 literature for just about everything.

24 And just as an example, there were a range of  
25 vapor pressures identified. This one for bisphenol A

1 bis(diphenyl phosphate). The estimated values varied  
2 about 10-fold.

3           Again, the octanol-water partition coefficient  
4 was affected by contaminants or other components in the  
5 mixture, so it wasn't always clear. But for most of the  
6 compounds that were highlighted in the document, the log  
7  $K_{ow}$  was greater than 4.

8           And just to provide context for these numbers,  
9 log  $K_{ow}$  of greater than 4 is regarded as evidence of  
10 bioaccumulation potential.

11                   --o0o--

12           DR. KROWECH: This slide shows persistence  
13 predictions using EPA's screening tool PBT Profiler. And  
14 as you can see, most of the aromatic phosphates are very  
15 persistent in sediment and persistent in soil.

16                   --o0o--

17           DR. KROWECH: There is evidence of  
18 bioaccumulation in -- for two aromatic phosphates.  
19 Triphenyl phosphate has been found in bottlenose dolphins,  
20 and fish and in bivalves. Ethylhexyl diphenyl phosphate  
21 was found in fish. The bottom of this slide shows  
22 bioconcentration factors for some of the aromatic  
23 phosphates. And these also varied widely in the  
24 literature, but this is just a sampling of the range that  
25 was found. And again, for context, BCF greater than 1,000

1 is regarded as evidence of bioaccumulation potential.

2           And I just maybe would note that the BCF value  
3 for triphenyl phosphate is 420 here. Yet, it was found in  
4 biota.

5           In terms of pharmacokinetics and metabolism, from  
6 what we know so far there seems to -- it seems to suggest  
7 that these aromatic phosphates are absorbed orally.  
8 Urinary metabolites have been identified for some of the  
9 chemicals. For some, no information was located on  
10 metabolism or excretion.

11           The route of excretion could depend on the  
12 isomer. For example for tricresyl phosphate, the ortho  
13 isomer is excreted primarily in urine. The meta-isomer is  
14 excreted in feces. And the para-isomer is excreted in  
15 urine at low dose, and at higher doses is excreted in  
16 feces.

17           Both triphenyl phosphate and ethylhexyl diphenyl  
18 phosphate were detected in breast milk. And more research  
19 is needed to determine which phosphates would be found in  
20 urine or blood.

21                           --o0o--

22           DR. KROWECH: A few biomonitoring studies were  
23 located. There are two recent studies that found the  
24 triphenyl phosphate metabolite, diphenyl phosphate, one  
25 from the United States, and one from Germany.

1           And a study in Sweden reported finding both  
2 triphenyl phosphate and ethylhexyl diphenyl phosphate in  
3 pooled breast milk samples.

4                           --o0o--

5           DR. KROWECH: In terms of the laboratory  
6 analysis, Biomonitoring California would need to adapt or  
7 develop -- adapt and develop analytical methods. Methods  
8 for analysis of diphenyl phosphate in urine are available  
9 in the literature.

10           One difficulty is that reference standards are  
11 available only for a few of the aromatic phosphates.  
12 Analysis of non-halogenated aromatic phosphates could  
13 likely be bundled.

14                           --o0o--

15           DR. KROWECH: In terms of the need to assess the  
16 efficacy of public health actions, there's widespread and  
17 probable increasing use of non-halogenated aromatic  
18 phosphates. I didn't, in this presentation, mention  
19 production volume in the U.S. before, but all of these are  
20 High Production Volume chemicals. Some are -- several are  
21 in the range of 10 to 50 million pounds reported in 2006.

22                           --o0o--

23           DR. KROWECH: And in this last slide, we laid out  
24 some options that the Panel might wish to consider in  
25 their discussion. The Panel could consider recommending

1 that the class of non-halogenated aromatic phosphates be  
2 added to the list of designated chemicals. You could  
3 consider recommending that one or more individual  
4 chemicals be designated. I've also noted that there will  
5 be results coming for some of these chemicals from NTP  
6 studies, and there will likely be other information coming  
7 out. So you might chose to delay making any  
8 recommendations. And finally, you could also recommend  
9 against designating these chemicals.

10 And now I'll be happy to answer any questions  
11 about the presentation.

12 CHAIRPERSON LUDERER: Thank you, Dr. Krowech and  
13 for preparing this excellent document in response to  
14 previous requests by the Panel. A lot of really detailed  
15 information about these different chemicals.

16 Do any of the Panel members have comments,  
17 questions?

18 Dr. Solomon.

19 PANEL MEMBER SOLOMON: Yes. Thanks. This is a  
20 great presentation and a very, very nicely done briefing  
21 packet. Thank you.

22 My question is just to probe for a little bit  
23 more information about the list that you chose, which  
24 includes eight of these aromatic phosphates versus the  
25 list that the Consumer Products Safety Commission proposed

1 and the NTP is proceeding on, that six out of these eight.  
2 Was there -- you know, can you provide sort of any  
3 additional information about why CPSC limited their list  
4 to six at -- and, you know, and some of the information  
5 that motivated you to add the additional two.

6 DR. KROWECH: What I can say about CPSC is I  
7 think -- and my understanding was, they were just  
8 representative. So it wasn't that they were particularly  
9 targeting these. I think they were -- they were looking  
10 at it as these are some that may be up and coming, have  
11 increasing use in foam and in textiling, and backcoating  
12 of furniture.

13 And three of them have been identified in foam.  
14 So this is pretty much what I know, but I don't think it  
15 was meant to be a definitive list. It was basically as a  
16 representative sample. And in terms of what they're  
17 choosing, so they want to look at what the effect. They  
18 want to look at triphenyl phosphate, and then the effect  
19 of an aromatic phosphate that adds other components.

20 In terms of why I added the other two, because  
21 they're also aromatic phosphates. And I think their  
22 disposition in the body is really unknown, and it seemed  
23 just fitting to me that if we were going to look at  
24 non-halogenated aromatic phosphates, we might include them  
25 all.

1 CHAIRPERSON LUDERER: Dr. McKone.

2 PANEL MEMBER MCKONE: Thank you. That was a  
3 really informative presentation. I think it's useful for  
4 us. I guess -- again, this is a comment probably as much  
5 as a question. But I know long ago we talked about  
6 selecting chemicals where we might see a large change in  
7 pattern. And that Biomonitoring Program offered an  
8 opportunity to look at what's happening in the market.  
9 And, you know, it sounds like these are chemicals -- some  
10 of these are really growing rapidly. They're entering the  
11 market at a very rapid rate in large volumes, particularly  
12 substitute flame retardants.

13 So if this could be couched -- I guess the  
14 question is, can this be couched in a way or set up in a  
15 timely way, so that we can actually watch the levels  
16 either change or not change in the population as the use  
17 patterns grow, you know, because we have market  
18 information at the same time we have biomonitoring  
19 information.

20 So I think that opportunity is there. I suppose  
21 you can call that a question or a comment.

22 DR. KROWECH: Thank you for the comment.

23 CHAIRPERSON LUDERER: Other clarifying questions  
24 from Panel members. We have a public comment period  
25 coming up, and then we have more time for discussion among

1 the Panel.

2 Do we have any public comments from public  
3 present here?

4 Okay. And we don't have any by email on this  
5 topic.

6 So then we can move on to our discussion. So  
7 really what we're discussing then is whether we, as a  
8 Panel, feel that we should consider designating these  
9 non-halogenated aromatic phosphates as a class or whether  
10 we would like to defer that until later when there's more  
11 information available, such as from those NTP studies that  
12 were mentioned, or we could also decide against  
13 designating.

14 Are there any thoughts from the Panel about these  
15 different options?

16 Dr. Wilson.

17 PANEL MEMBER WILSON: Sure. You know, my sense  
18 in -- you know, from the presentation -- thank you,  
19 Gail -- and also in reading your briefing document, is  
20 that we have sort of a portfolio of problems that, you  
21 know, suggest to me that this is a class of chemicals that  
22 should be designated. And those -- that portfolio is the  
23 fact that they're emerging as the substitute for  
24 substances that California has identified as problematic,  
25 being the brominated flame retardants. So they're

1 emerging as commercially important in California, probably  
2 uniquely in the U.S. as a consequence of Technical  
3 Bulletin 117.

4 They're problematic with regard to both  
5 persistence and bioaccumulation. And they're problematic  
6 on the hazard side as well, as I remember from the  
7 briefing document, also from, I think, as Gail mentioned  
8 hormone disrupting or interrupting effects and so forth.

9 So I guess my tendency is to lean toward  
10 designating these non-halogenated aromatic phosphates as a  
11 class for biomonitoring.

12 CHAIRPERSON LUDERER: Other comments from Panel  
13 members?

14 Dr. McKone, from your earlier comment, was that  
15 the direction that you were also headed in?

16 PANEL MEMBER MCKONE: Yeah. I would move my  
17 earlier comment forward to this discussion, which is -- I  
18 mean, there are a number of compelling cases, I think,  
19 here, but probably seeing something that really meets a  
20 lot of -- I mean, by any sort of chemical hypothesis, we  
21 should find these they're persistent, very persistent, by  
22 certain criteria. They're rising in use. They're likely  
23 to be a major substitute for anything that is removed from  
24 the market, the brominated flame retardants. These are  
25 problem going to enter unless somebody removes the

1 technical bulletin that requires those, which seems  
2 unlikely.

3           So I think it's an opportunity for us to really  
4 watch something happening and see -- and learn about  
5 whether there are mitigations or what the sort of  
6 dimensions of it are. So it's a very important  
7 opportunity, both in terms of protecting health, if that's  
8 needed, or anticipating health problems, but also in terms  
9 of biomonitoring research. These are certainly the types  
10 of chemicals that make sense to put into a biomonitoring  
11 list -- I'd be -- you know, I would also move to put them  
12 forward on the list.

13           CHAIRPERSON LUDERER: Any other comments from  
14 other Panel members?

15           Dr. Solomon

16           PANEL MEMBER SOLOMON: I'm very pleased that the  
17 NTP will be studying these chemicals. And, you know,  
18 while it's sort of tempting to wait and see what the NTP  
19 finds, that sort of perpetuates the problem that I see of  
20 waiting until you have clear toxicity data before starting  
21 to assess exposure, and sort of always putting the  
22 exposure as a sort of an afterthought. Exposure  
23 considerations, in my view, should operate in parallel  
24 with toxicity evaluations.

25           And so I think there's some significant merit to,

1 you know, starting to explore human exposures while the  
2 toxicity evaluation is still underway, if we believe that  
3 the -- you know, that the set of chemicals meet the  
4 criteria that are before us. And, you know, looking at  
5 the criteria, I think that there's ample information in  
6 our packet to indicate a potential exposure to the public,  
7 not a lot of data on actual exposure. But we certainly  
8 just on the basis of persistence, bioaccumulation  
9 potential, wide spread use, and some exposure data that do  
10 exist, I think we meet that criterion.

11 On known or suspected health effects, there are,  
12 you know, certainly pretty good information on  
13 neurotoxicity and some very interesting and worrisome  
14 information on endocrine effects for a number of these  
15 chemicals. And so I think that, you know, as a group,  
16 although we still have huge data gaps, have enough -- you  
17 know, certainly the same information that drove NTP to  
18 take this on as a high priority for their resources, gives  
19 us, you know, similarly some reason to prioritize these.

20 And then as Dr. McKone mentioned, you know, very  
21 compellingly there is the need to assess the efficacy of  
22 public health actions to reduce exposure, and then the  
23 flip side of that, which is the potential for -- you know,  
24 associated potential for increased exposure due to various  
25 actions, such as flame retardancy standards.

1           We, at this point, really do not need criterion  
2 for the availability of biomonitoring analytical method  
3 yet for many of these chemicals, though it's meant for  
4 some of them. And, in my view, that's something that, you  
5 know, needs to be investigated by the laboratory. But we  
6 have previously, as a Panel, listed chemicals --  
7 designated chemicals for which no biomonitoring analytical  
8 method yet existed, and the laboratory has been fantastic  
9 at rising to those challenges. And we certainly do have a  
10 availability of adequate biospecimen samples. The  
11 incremental analytical costs obviously is a consideration  
12 here. But as indicated, these could be quite likely  
13 bundled.

14           So I think we're there, in terms of meeting the  
15 criteria for designating these chemicals as a group. And  
16 I am leaning toward doing that. I, you know, I recognize  
17 that at least one of them, the bisphenol A bis(diphenyl  
18 phosphate), it may well be that that's sort of moot,  
19 because the main chemical that would be biomonitoring there  
20 would be bisphenol A, which is already a priority chemical  
21 and is already being biomonitoring.

22           With that, you know, I guess there's no harm done  
23 in sort of doubling up there, the marker -- the biomarker  
24 might end up being one we already have.

25           CHAIRPERSON LUDERER: Dr. Culver.

1           PANEL MEMBER CULVER: Just a question. Are  
2 they -- do they all have about the same amount of  
3 bioavailability?

4           CHAIRPERSON LUDERER: Dr. Krowech, would you like  
5 to address that.

6           DR. KROWECH: I think the answer is we don't  
7 really know from -- because we don't know the metabolites  
8 and we don't know the excretion. So we don't really know  
9 for all them. They haven't been studied. At least one of  
10 them is primarily excreted in feces, so I'm not sure if  
11 that would actually be able to be detected, but that would  
12 also have to be investigated.

13           CHAIRPERSON LUDERER: Dr. Wilson.

14           PANEL MEMBER WILSON: I just have a clarifying  
15 question for Dr. Krowech. If the samples -- pooled  
16 samples from breast milk in Sweden. Did that information  
17 show trends over time for the triphenyl phosphate?

18           DR. KROWECH: I would have to go back and look at  
19 it. I'm not sure. But there were -- it was from  
20 probably a period of 15 years, at least 10 to 15 years  
21 where there were pooled samples. I'm tending to think no,  
22 but I'll check on it and let you know.

23           PANEL MEMBER WILSON: Thanks.

24           CHAIRPERSON LUDERER: Dr. Solomon.

25           PANEL MEMBER SOLOMON: Just a quick addendum

1 that -- in terms of the fact that some of these chemicals,  
2 especially I think the two ethylhexyl diphenyl phosphate  
3 in humans at least appears to be mostly excreted in feces,  
4 that, to me, does not necessarily indicate a lack of  
5 absorption, because obviously many chemicals of this  
6 nature that are actually absorbed are excreted through  
7 bile, and therefore end up in feces, even though they  
8 actually are absorbed into the body.

9 DR. KROWECH: I agree. I didn't mean to say that  
10 they weren't absorbed, but that I didn't think they -- I  
11 guess I was answering the question in terms of whether or  
12 not they could be biomonitored. But ethylhexyl diphenyl  
13 phosphate is one that has a -- it's almost, I think, 40  
14 percent excreted in urine. So it was the resorcinol bis  
15 (diphenyl phosphate) that is excreted primarily in feces.

16 CHAIRPERSON LUDERER: All right. We've heard  
17 comments from several Panel members. And my summary of  
18 what we've heard so far is that it seems that we have an  
19 emerging consensus regarding designating these  
20 non-halogenated aromatic phosphates as a class. Any  
21 disagreement with that interpretation among the Panel  
22 members?

23 And, Dr. Wilson, did you have a comment?

24 PANEL MEMBER WILSON: Would you entertain a  
25 motion?

1 CHAIRPERSON LUDERER: Yes, I would.

2 PANEL MEMBER WILSON: Then I would move that the  
3 Panel designate non-halogenated aromatic phosphates as a  
4 class for biomonitoring in California.

5 CHAIRPERSON LUDERER: Okay. Is that a second,  
6 Dr. McKone?

7 PANEL MEMBER MCKONE: I'll second that

8 CHAIRPERSON LUDERER: All right. So then shall  
9 we start with Dr. Kavanaugh-Lynch and the Panel can vote.

10 PANEL MEMBER KAVANAUGH-LYNCH: Yes.

11 PANEL MEMBER CULVER: In favor.

12 PANEL MEMBER MCKONE: Yes.

13 CHAIRPERSON LUDERER: Yes.

14 PANEL MEMBER WILSON: Yes.

15 PANEL MEMBER SOLOMON: Yes.

16 CHAIRPERSON LUDERER: All right. The Panel  
17 unanimously voted in favor of designating non-halogenated  
18 aromatic phosphates to the California Environmental  
19 Contaminant Biomonitoring Program.

20 All right. Yes, we are a little bit ahead of  
21 schedule actually, at this point. So we can take a -- the  
22 next item on the schedule is a break. So should we take  
23 15 minute break. So we'll be back at 3:20.

24 (Off record: 3:06 p.m.)

25 (Thereupon a recess was taken.)

1 (On record: 3:25 p.m.)

2 CHAIRPERSON LUDERER: All right. I'd like to  
3 call us back to order here. If everybody could please  
4 take a seat. Welcome you all back.

5 And I'd like to go ahead and introduce Sara  
6 Hoover, who is the Chief of the Safer Alternatives  
7 Assessment and Biomonitoring Section of OEHHA.

8 Sara.

9 MS. HOOVER: Thank you. So the last presentation  
10 of the day. This is on chemical selection planning. And  
11 I'm going to be introducing Laurel Plummer as part of this  
12 item as well, who is our new Associate Toxicologist.

13 (Thereupon an overhead presentation was  
14 Presented as follows.)

15 MS. HOOVER: So the purpose of this agenda item  
16 first is that we're going to be talking about a  
17 preliminary screen that we did for possible future  
18 consideration as potential designated chemicals - it's  
19 quite a mouthful - regarding some bisphenol A, BPA  
20 substitutes, and structurally related compounds.

21 So here I want to really acknowledge Dr. Laurel  
22 Plummer, who's here in the room, front row. She started  
23 in my section as an Associate Toxicologist in December.  
24 She earned her BA from UC Santa Barbara, where she majored  
25 in environmental studies, with an outside concentration in

1 organic chemistry. She received her Ph.D. in 2011 from UC  
2 Davis working with Dr. Kent Pinkerton. And the focus of  
3 her research was on looking at the association of particle  
4 size, season and source with pulmonary and cardiovascular  
5 health impacts of ambient particulate matter in San  
6 Joaquin Valley. And she recently presented her research  
7 at the Society of Toxicology meeting.

8 So Laurel has been an enormous help conducting  
9 toxicological research for the Biomonitoring Program, for  
10 our portion of it in OEHHA. And she's also going to be  
11 taking over for me as the primary coordinator for the SGP  
12 Panel meetings. So, everyone, please welcome Laurel.

13 (Applause.)

14 MS. HOOVER: So most of the work that I'm talking  
15 about here and that was contained in the document we sent  
16 was Laurel's work, and that's going to be the majority of  
17 this agenda item.

18 The other piece is a very minor, kind of  
19 administrative item almost on getting the Panel's input on  
20 how we're looking at the possible revision of the listing  
21 of PAHs as designated and priority chemicals and get your  
22 thoughts on that.

23 So actually the first thing I want to say before  
24 we get started is that this is not a designated item.  
25 That this is a pre-screen. And just to remind the Panel,

1 Gail presented an idea that we had about getting your  
2 feedback on before we create a designated document that we  
3 would first bring it to the Panel and get your thoughts on  
4 whether it's worth doing a designated document. So Gail  
5 presented that work in March, and then a refinement of  
6 that in July. And now this is our first real  
7 implementation more formally, where we did a document  
8 using that framework that Gail developed, and that the  
9 Panel helped us refine.

10 --o0o--

11 MS. HOOVER: So why screen BPA substitutes and  
12 related compounds?

13 Well, first of all, there's been public and Panel  
14 interest in these substitutes. Bisphenol A is a priority  
15 chemical for biomonitoring. And many manufacturers are  
16 already considering or already using alternatives for BPA.  
17 So the emerging alternatives are very likely to increase  
18 in use. And the Panel has given us real clear direction  
19 about trying to stay ahead of that curve and looking at  
20 emerging chemicals of possible concern.

21 These chemicals are used in consumer products,  
22 and there's indications of toxicity. And I'll be talking  
23 in more detail about some of what we found.

24 --o0o--

25 MS. HOOVER: So before I get into the meat of it.

1 We actually started the screen just looking at BPF, BPAF,  
2 and BPS. And Laurel quickly uncovered that there are  
3 many, many more BPA functional substitutes and  
4 structurally related compounds. We also came across the  
5 work that U.S. EPA's Design for the Environment is doing.

6 They're looking at a large set of functional  
7 alternatives to BPA that are known or expected to be used  
8 as developers in thermal paper. So what we decided to do,  
9 and the reason we're calling this a preliminary screen, is  
10 that instead of going in more depth on a few chemicals  
11 related to BPA or possible substitutes for BPA, we decided  
12 instead to do a much broader preliminary screen of the  
13 large number of substitutes and related compounds that  
14 we've identified so far.

15 So that little intro, I'll start talking about  
16 the preliminary screen.

17 --o0o--

18 MS. HOOVER: So what is this preliminary screen?

19 What we've done is we're providing you a very  
20 brief summary of information located so far on the 23  
21 substances that we've identified as known or expected  
22 substitutes or compounds that are structurally related.

23 Now, why did we make such a long title?

24 One of the reasons is that some of these  
25 chemicals are actually already being used and have been

1 used, and they're not necessarily specific substitutes for  
2 BPA, but we still thought they were interesting because of  
3 their structural similarity.

4           So here it just gives you an idea of what we  
5 covered. We were trying to look at information. Again,  
6 we used the basic structure that Gail developed on the  
7 screening, but we also used the Panel's direction to be  
8 flexible, depending on what chemicals we were looking at.  
9 So here we looked at chemical identity and structure, use  
10 and production, whether they've been detected in consumer  
11 products or biological or environmental samples, some  
12 physical and chemical properties, predicted  
13 bioaccumulation and persistence, and just a very  
14 approximate extent of toxicity data and types of  
15 endpoints.

16           So I really need to emphasize that we did not do  
17 a comprehensive literature search. We relied on the  
18 information that was publicly available and easily  
19 accessible to us. So that's why we're calling it  
20 preliminary. And actually in this talk, I'm not going to  
21 go into depth in a lot of the content. I'm just going to  
22 give you a flavor of what we found.

23           And really, the aim of our discussion with the  
24 Panel today is not a decision item, but just input on  
25 possible next steps, if any, that the Program should

1 consider regarding these chemicals.

2           Okay. So just -- I'm going to give you --  
3 there's a lot of structures in the document. I'm going to  
4 show you a few.

5   --o0o--

6           MS. HOOVER: So for comparison, here's bisphenol  
7 A. Here's bisphenol A diglycidyl ether, bisphenol AF.  
8 You'll see the similarities to the structure on top here.  
9 They're substituted with fluorines on the bridge.  
10 Bisphenol F, bisphenol B.

11           So you can see that these are some of the  
12 compounds that are currently in use or being considered as  
13 alternatives, in some cases.

14   --o0o--

15           MS. HOOVER: This compound is bisphenol S. This  
16 is the 4,4' version of bisphenol S. I'm not giving you  
17 the full chemical names. You can refer to the document  
18 for that. This is TGSA, d-8, bisphenol S-MAE.

19           So you can see a pattern is emerging where  
20 there's a lot of -- there's similarity here to both  
21 bisphenol A, but these are what EPA might -- is  
22 categorizing as bisphenol S like compounds.

23   --o0o--

24           MS. HOOVER: And then others related to BPS that  
25 have some similarities of structure. Here you can see the

1 SO<sub>2</sub> bridge. This is a polymer. And then Pergafast 201  
2 has the SO<sub>2</sub> bridge. So these are just a sampling of the  
3 types chemicals we've been looking at.

4 --o0o--

5 MS. HOOVER: So the other alternatives. Laurel  
6 also came across that there's actually some completely  
7 different types of alternatives out there.

8 One is the Tritan copolymer, which is known to be  
9 a substitute for bisphenol A, and is actually increasing  
10 in sales quite dramatically. This shows the Tritan  
11 monomers.

12 And then EcoCare is another substitute. So the  
13 Tritan copolymer has been used in food and beverage  
14 containers as a substitute. It actually has at least 23  
15 different formulations of this combination of monomers for  
16 its particular applications. EcoCare has been used as --  
17 is being used as a replacement for protective coatings in  
18 reusable water bottles, such as stainless steel or  
19 aluminum water bottles, but we don't know the specific  
20 composition of EcoCare.

21 --o0o--

22 MS. HOOVER: So what are the major types of uses?

23 Well, it's going to look pretty familiar to BPA.  
24 The uses that -- some of the uses that Laurel came across  
25 are protective coatings, for example, inside food and

1 beverage containers, in the production of plastics,  
2 developers in thermal paper, for example the cash register  
3 receipts that are ubiquitous.

4 --o0o--

5 MS. HOOVER: Here's a little bit of information  
6 on production volume. So we've included here BPA for  
7 comparison, which is in 2006, was still greater than a  
8 billion pounds.

9 One of the Tritan monomers is also greater than a  
10 billion pounds. Another Tritan monomer is between 100 and  
11 500 million pounds. Then there's a few that are still  
12 high production volume, greater than a million pounds.  
13 Some less than 500,000. And then for many of them, we did  
14 not locate production volume.

15 So here I'm just highlighting which -- so again,  
16 we did not -- we really definitely did not do a  
17 comprehensive literature search on biomonitoring studies.  
18 But those that we came across have detected BADGE, BPAF,  
19 BPB, and PHBB, which is benzyl paraben.

20 In terms of detections in consumer products,  
21 again from the survey that we've done so far, here's some  
22 of the compounds that were found.

23 --o0o--

24 MS. HOOVER: So Laurel did the big job of running  
25 the PBT Profiler on all of these chemicals. And the PBT

1 Profiler gives you predicted information on  
2 bioaccumulation and half lives in various media, and this  
3 summarizes the results. PBT Profiler also compares the  
4 results to U.S. EPA criteria. And the numbers that are in  
5 orange, in this case, indicate persistence. There weren't  
6 any orange values for bioaccumulation -- or  
7 bioconcentration factor, which is BCF.

8           The red under the half-lives section indicates  
9 very persistent. And then you see a couple of  
10 bioconcentration factors that are red, which indicates  
11 very bioaccumulative. And this is all predicted based on  
12 U.S. EPA criteria.

13                           --o0o--

14           MS. HOOVER: In terms of toxicity, in the  
15 preliminary screen, what Laurel found was that many of  
16 these have limited or no toxicity data. She did locate a  
17 number of literature studies and some of the endpoints  
18 that we were looking at so far were in vivo, for example,  
19 the uterotrophic assay, which is a measure of  
20 estrogenicity.

21           And various in vitro assays for endocrine  
22 disrupting activity. There were also some genotoxicity  
23 information.

24           I also wanted to add kind of an interesting side  
25 note at this recent SOT meeting. There was research that

1 is fairly new research on the Tritan and Tritan monomers  
2 showing lack of estrogenic and lack of antiandrogenic  
3 potential. So those posters were at SOT.

4 --o0o--

5 MS. HOOVER: Again, preliminary screen. You  
6 shouldn't really see this as a comparison or an  
7 alternatives assessment, in any way, but we're just giving  
8 you a flavor that a number of them did have evidence of  
9 endocrine disrupting activity in vitro. A few of them had  
10 evidence in vivo based on the uterotrophic assay.

11 --o0o--

12 MS. HOOVER: And I did really want to highlight  
13 the U.S. EPA's work. So they're doing a major assessment  
14 of functional alternatives for BPA in thermal paper. And  
15 they're looking at human and environmental health  
16 profiles, structure activity modeling, and they also are  
17 looking at proprietary information.

18 And the reason that they can do that is they've  
19 actually formed a partnership between, you know,  
20 government as U.S. EPA, a number of manufacturers,  
21 retailers, NGOs, and stakeholders. And they're trying to  
22 work together to assess the alternatives that might be  
23 replacing BPA in thermal paper.

24 And their draft report is actually due out fairly  
25 soon, late March or possibly early April, so we can

1 provide you an update on their findings in the July  
2 meeting.

3           So that's pretty much covering the content of the  
4 preliminary screen. If you looked at the document, you  
5 know there's more information in the document as well.  
6 But like I said, I'm -- the point of this item isn't  
7 really to get into the details, but get your feedback on  
8 what to do next.

9           And I also wanted to invite, you know, anyone in  
10 the public, any interested parties that if there's -- they  
11 have other information, comments on what we've done so  
12 far, or additional information that we may have missed,  
13 we'd be very happy to receive that.

14   --o0o--

15           MS. HOOVER: So now let's turn to what our  
16 questions are for you today. So we're really interested  
17 to hear about what next steps, if any, we should take for  
18 these compounds. Options include some additional  
19 screening. And here I want to particularly thank Dr.  
20 Solomon for doing a lot of my work this morning. When we  
21 were looking at these compounds, it really struck me for  
22 this case, in particular, of how critical it is to do some  
23 laboratory screening and not just library research.

24           And so we're very interested in this idea of  
25 looking at possible pilot screening of urine and/or blood

1 samples from volunteers using some of the techniques that  
2 were talked about this morning. This would be, of course,  
3 dependent on the ability of the Program to do this, given  
4 resources, and we'd also have to find funding for it, and  
5 it would not be CDC funding.

6           And again, there's definitely room for more  
7 library research. We could expand the preliminary screen  
8 as well or instead of doing laboratory research.

9           It's also -- you know, even though it's a  
10 preliminary screen, you can certainly recommend, well, you  
11 know, "We think you should go ahead with a potential  
12 designated document". You could consider a subset of  
13 these chemicals, perhaps defined by chemical structure or  
14 use, or selected individual chemicals, if there's ones  
15 that particularly strike you.

16           So with that, I'll turn the mic over to the  
17 chair.

18           CHAIRPERSON LUDERER: Thank you, Sara, and Laurel  
19 for putting together this document. Very informative and  
20 it's nice to see this table that was presented  
21 conceptually actually being used.

22           Do we have any questions, first, kind of  
23 clarifying questions from the Panel before we go to public  
24 comments. And then as always, we have discussion time  
25 again for the Panel at the end.

1           No.

2           We do have some public comments that came in by  
3 email, and I can read those. Do we have any in-person  
4 public comments?

5           So we'll do our in-person comment first. And  
6 this is Nancy Buermeyer from the Breast Cancer Fund.

7           MS. BUERMEYER: Great. Thank you very much, and  
8 thanks to staff for that presentation.

9           I just, on behalf of the Breast Cancer Fund, want  
10 to encourage the Panel and the Program to do everything  
11 you possibly can to look at these chemicals. We've played  
12 a leadership role in trying to educate the public about  
13 BPA, the concerns we have about BPA. We've worked not  
14 only on baby bottles and sippy cups, but we're working on  
15 a market campaign now on cans. And part of the market  
16 campaign demand is not just that companies take BPA out,  
17 but they tell us what they're putting in.

18           Recently, Campbell's announced that they were  
19 going to phase BPA out, which is a huge victory on our  
20 part, but it's only a piece of the puzzle if we don't know  
21 what else they're using and what the impact of that use  
22 is, in terms of the impact of those chemicals.

23           So I don't have the scientific answers, but I can  
24 tell you from an advocate's perspective and a public  
25 perspective, we continually get questions about what else

1 are they using and what does that mean?

2           So to the extent that you have resources and  
3 ability to move this particular project forward, we would  
4 strongly urge you to do so.

5           Thanks.

6           CHAIRPERSON LUDERER: Thank you very much for  
7 that comment.

8           I'm now going to read a comment that came in by  
9 email. And this is from -- submitted by Davis Baltz of  
10 Commonweal.

11           He says, "My comment is in support of the  
12 proposal to screen some bisphenol A substitutes  
13 and structurally related compounds as possible  
14 candidates for future consideration as potential  
15 designated chemicals by Biomonitoring California.

16           "California has already signaled that BPA is  
17 a chemical of concern by passing legislation last  
18 year that was signed by Governor Brown  
19 eliminating BPA in baby bottles bottle and sippy  
20 cups.

21           "The evidence linking endocrine disrupting  
22 chemicals with health effects continues to grow.  
23 Just this week, a comprehensive study published  
24 by the Laura Vandenberg and 11 colleagues in the  
25 journal Endocrine Reviews. The study conducted

1 over three years reviewed hundreds of studies  
2 that examined low dose effects of endocrine  
3 disruptors on people and animals. BPA was one of  
4 the chemicals examined in great detail. The  
5 scientists representing some of the most  
6 respected researchers in the field, used striking  
7 language to describe some of their findings:

8 "There is overwhelming evidence that  
9 hormone-acting chemicals have effects at low  
10 levels, and that these effects are often  
11 completely different than effects at high  
12 levels."

13 Number 2, "Associations have been  
14 demonstrated between low levels of  
15 hormone-altering compounds with infertility and  
16 other reproductive problems, cardiovascular  
17 disease, neurodevelopmental effects, obesity,  
18 abnormal bone health, and cancer."

19 Three, "Effects are found across the  
20 population from fetuses to aging adults."

21 Four, "The overall cost to society is  
22 enormous."

23 And Five, "Fundamental changes in chemical  
24 testing are needed to protect human health.

25 "Biomonitoring data will be critical for the

1 State to assess the degree of exposure  
2 Californians experience to these and other  
3 compounds, so that strategies can be devised that  
4 protect public health. Taking initial steps to  
5 screen compounds that are structurally related to  
6 BPA or are used as substitutes is both prudent  
7 and will distinguish California's biomonitoring  
8 program from other efforts.

9 "Thank you for the chance to comment."

10 And we thank him for the comment.

11 Do we -- now, since we have no additional  
12 comments from the public, we have time for Panel  
13 discussion and recommendations.

14 Dr. McKone.

15 PANEL MEMBER MCKONE: I was looking like I have a  
16 question. I don't know if this is a question that I  
17 should have asked early. I always get these things  
18 backwards. But in the tox screen, I noticed the list in  
19 vivo and in vitro, but there was no in silico. I mean  
20 there are -- I mean, this is a screen that is not yet --  
21 we're not trying to demonstrate hazard for policy, but is  
22 there any thought of the legitimacy of running -- of the  
23 EPA software that does structure activity rankings of  
24 chemicals? The Canadians have programs. Are those far  
25 enough along?

1 I mean, it's just one more piece of information.  
2 We know they're not terribly reliable, but they're getting  
3 better with these sorts of things. ToxCast.

4 MS. HOOVER: Yeah. I mean, I'm not sure, Laurel,  
5 if you want to add to that. But I guess we didn't really  
6 focus strongly on structure activity, because it seemed so  
7 apparent to us, you know, that there was structure  
8 activity concerns for these. So that was a little bit of  
9 the flexibility.

10 So actually Laurel focused quite a bit on  
11 literature and seeing what was already out there. And we  
12 felt that the kind of structural activity concerns were  
13 implied just by laying out the structures. However, we  
14 certainly could run more. That was the PBT Profiler we  
15 ran, which is predictive based on structure.

16 And, I mean, if you can -- you know, as you  
17 pointed out, they're not necessarily reliable. If you  
18 have particular tools in mind that you would recommend,  
19 we'd be happy to look at that. We did check if anything  
20 was in the ToxCast program, and I don't think there's any  
21 alternatives included in ToxCast at this point.

22 We also had a number of discussions with DfE. We  
23 were lucky enough to consult with Cal Baier-Anderson,  
24 who's one of the leads on the DfE project. And they've  
25 done a lot of that work, and that's going to be coming out

1 at the end of this month. So we'll be, you know, updating  
2 you on that from there. That's a subset of what -- well,  
3 I think it's -- we pretty much covered almost all the ones  
4 that they looked at, and then we did a few more. So  
5 they'll be presenting their results of all that type of  
6 screening that they did.

7 CHAIRPERSON LUDERER: Dr. Alexeeff.

8 OEHHA ACTING DIRECTOR ALEXEEFF: Just to add on  
9 to what Sara said. We're in the process of, let's say,  
10 developing that particular capability in general in OEHHA.  
11 And so once we -- once -- we thought we'd have it by now,  
12 but it didn't quite work out, but we will have it soon,  
13 because we'll be using it in considering for our looking  
14 at green chemistry type of issues and such. So I think  
15 once we get that up and running, we'll be able to find  
16 more information like that as well.

17 CHAIRPERSON LUDERER: Dr. Solomon.

18 PANEL MEMBER SOLOMON: Thank you for this  
19 document and for doing this preliminary screen. I think  
20 this is -- this represents a really excellent first step,  
21 and it's very helpful.

22 It also is a little bit overwhelming. There's a  
23 lot of chemicals here. You know, it might sort of be  
24 tempting, as a Panel, to say, yeah, you know, dig in and  
25 give us lengthy documents on all of these. But, you know,

1 recognizing the limitations of staff time and resources, I  
2 think it is incumbent on us to try to prioritize among  
3 these a bit. And it's a little difficult to see how to do  
4 that.

5 I think, in my view, I see two ways that are kind  
6 of tempting to prioritize. One is to sort of toss this  
7 over -- this ball over to the laboratory and challenge the  
8 lab to do some non-targeted screening on some of the  
9 samples that already exist, and see if we can help find a  
10 grant to do that. I think there are, for example, private  
11 foundations that might be interested in funding something  
12 like that, and see what pops up, and then move forward on  
13 those.

14 I think the other possible approach is there are  
15 three main categories of uses here, thermal paper, food  
16 can linings, and hard plastics. And of those three, the  
17 last one is the one that has the most sort of immediate  
18 California resonance, because of the fact that in  
19 California we just recently banned BPA from baby bottles  
20 and sippy cups as mentioned by one of the commenters.

21 And so in keeping with our, you know, previous  
22 practice as a Panel, we obviously are always wanting to  
23 stay vigilant about what's coming in as replacements for  
24 chemicals that have been subject to public policy  
25 decisions in California, which makes it sort of tempting

1 to focus on the hard plastic uses, which would bring us, I  
2 think, to the Tritan copolymer, to the very mysterious  
3 chemical maybe, I guess, that -- the EcoCare. Though I  
4 guess that's a liner, not really -- yes, sorry.

5 And, you know, there maybe some -- is a little  
6 hard for me to tell, but maybe some of these others also  
7 are being used in hard plastics.

8 Uh-oh. I don't have my glasses on.

9 And so, you know, to sort of challenge -- and I  
10 guess that's sort of a question. Are there others other  
11 than the Tritan copolymer that jumped out as being used in  
12 hard plastics and potential replacements in baby bottles?  
13 And if so, those might be ones that we would want to focus  
14 on sooner rather than later.

15 CHAIRPERSON LUDERER: Sara Hoover.

16 MS. HOOVER: Yeah. I think that you're -- I did  
17 think about California relevance, so I'm glad you raised  
18 that. I did want to say that you're right that it's hard  
19 to prioritize, and that, in fact, even trying to do  
20 something like -- I suggested, for example, by use, but  
21 what we discovered, for example, was because DfE did this  
22 project on replacements in thermal paper, we had a huge  
23 list of replacements in thermal paper. But they haven't  
24 done a project like that for replacements in plastics. So  
25 we're quite certain that we'd have a huge list that we may

1 not even be aware of. And we also can't say for sure that  
2 we've really gotten a comprehensive handle on what the  
3 uses are.

4 So you'll see in the table, we'll say that it's  
5 used in thermal paper, and that's because we know from DfE  
6 that they've identified known or expected alternatives.

7 And actually, one of the cautions even there is  
8 that they're not necessarily getting information on all  
9 the alternatives that are even in use right now. So just  
10 a note. I think it's a great idea, but it's potentially  
11 difficult to get the information to make that cut.

12 CHAIRPERSON LUDERER: Dr. Solomon.

13 PANEL MEMBER SOLOMON: One other question. Did  
14 you have any luck squeezing any information out of the  
15 FDA? I've spoken with folks at FDA who -- you know,  
16 actually the guy who was in charge of the office that  
17 evaluates food can liners. And he said that he's  
18 inundated with requests, and that his staff can't keep up  
19 with all of these new food can lining substances that  
20 they're asking for approval on.

21 I don't know the degree to which FDA would  
22 provide that information, but I think -- I mean, it's not  
23 EPA that's doing this, both for the hard plastics --  
24 again, these are all food contact substances, so they all  
25 lie within FDA. And so it would, you know, be important

1 to see what information we could get from them.

2 MS. HOOVER: Yeah. No, we didn't talk to FDA, so  
3 that's an excellent suggestion. And if you have a  
4 particular contact, we can follow up with them.

5 CHAIRPERSON LUDERER: Actually, I had a related  
6 question. You meant -- whether there's any information on  
7 use in California on any of these chemicals, whether we  
8 know whether they're more highly used here than in other  
9 places, because that's been another kind of criterion that  
10 the Panel has used in the past.

11 MS. HOOVER: The short answer is no. You know,  
12 that's part of what we -- I was -- have done some work in  
13 the Green Chemistry Initiative as Mike Wilson has. And  
14 that's one of the things that we'd really like to know is  
15 how things are used in California, and we don't have that  
16 information. I don't know if, Mike, if you had anything  
17 to add about that particular question, but...

18 PANEL MEMBER WILSON: Well, yeah. Only that -- I  
19 mean, these are -- this is I think an extraordinary  
20 document that you've put together here. And, of course,  
21 it's exasperating and possibly thoroughly predictable with  
22 the direction we've been going, you know, phasing out BPA,  
23 and now we have this whole new crop of multi-dimensional  
24 Whack-a-mole, you know, substances.

25 And, I guess -- I mean, that's what I've been

1 trying to think through is, is there something that  
2 this can -- is there somehow that this can inform the  
3 State's Green Chemistry Initiative, in that it's a perfect  
4 illustration of the need for the State of California to  
5 have a process for managing this scenario, and preventing  
6 this kind of -- the proliferation of these substitutes for  
7 which we have no information from outside of what we can  
8 get from the public databases, and, in fact, would  
9 probably be flagged for authorization in the European  
10 Union, because of their persistence and bioaccumulation  
11 potential.

12           You know, it's a very disturbing outcome. And I  
13 don't -- I'm not quite sure what the intersection is with  
14 the Green Chemistry Initiative, and maybe it falls outside  
15 the purview of the Panel. But this, I guess -- what comes  
16 to mind here is similar to some of the work that OEHHA has  
17 done on reporting findings similar to this, I mean,  
18 around -- some of the work that you've done around  
19 occupational exposure limits and the Prop 65 list and so  
20 forth that were influential.

21           I think that it would be interesting to capture  
22 this information, and in a way that could be a use to  
23 interested parties. And I'm not exactly sure where it  
24 would go, except for the fact that these -- the facts sort  
25 of speak for themselves.

1 MS. HOOVER: Yeah. I did want to mention that  
2 our program, the Biomonitoring Program, recently had a  
3 couple of meetings with DTSC Green Chemistry staff. And I  
4 specifically told them about this screen and the kind of  
5 work we do in this program on emerging chemicals, both in  
6 the pre-screen and in the designated documents, and they  
7 were very interested, and very excited to find ways to  
8 work together. So that was partly -- that intersection  
9 was partly motivated -- you know, this interesting choice  
10 of BPA related compounds.

11 CHAIRPERSON LUDERER: Any other comments from the  
12 Panel right now?

13 I just was thinking, as Dr. Solomon and the other  
14 Panel members were talking about other possible ways that  
15 one could narrow down this list. And, you know, one  
16 thought, of course, would be to look at the work that  
17 you've already been doing looking at bioaccumulation  
18 factors and the chronic fish value, and the half-lives and  
19 choose only those that were flagged using that screen.  
20 Unfortunately, when you look at that table, it doesn't  
21 winnow it down very much at all.

22 Another possibility would be something that I  
23 think you also mentioned in this idea of chemicals with  
24 certain structures. Maybe those that are related to  
25 bisphenol A and focusing on those initially. But again,

1 they're still a large list.

2 MS. HOOVER: Yeah. I just wanted to add to the  
3 discussion that Tom had and that George brought up. We  
4 actually did definitely intend to do a lot more work on  
5 structure activity. Somebody was supposed to join OEHHA  
6 that had something like more than 10 years of experience  
7 working in EPA on that, and then it didn't happen. But I  
8 do think that that is an area that we haven't explored,  
9 which is looking more carefully at particular structures  
10 that might be of more concern.

11 And I know that Laurel identified some papers in  
12 the literature that look at exactly that. We didn't go  
13 into that in the preliminary screen, but we are aware of  
14 that research and that's one avenue that we could pursue  
15 more in a further screen, in terms of the library side of  
16 the screening.

17 CHAIRPERSON LUDERER: Dr. Solomon.

18 PANEL MEMBER SOLOMON: Out of this long list of  
19 chemicals, there are five, maybe six, that have both some  
20 in vivo evidence of endocrine disrupting activity, and  
21 some evidence of -- you know, indicative of human  
22 exposure. Those include BADGE, bisphenol AF, bisphenol B,  
23 bisphenol F, as in Frank, and 4,4-bisphenol S, and  
24 possibly PHBB. It looks like the response in the in vivo  
25 study is sort of weak, but that might also fit in this

1 category.

2           And so, you know, one option would be to move  
3 forward with those five or six, since we think that there  
4 might be some data there. But that -- you know, those  
5 have somewhat disparate structures, and it's sort of a  
6 little tough given -- you know, it's a little tough to  
7 justify why not include very closely related paths. But  
8 on the other hand, we might want to start somewhere. And  
9 so if we did want to move forward, you know, one option  
10 would be to do that.

11           I actually would propose that we do several of  
12 these -- you know, move several things forward at once.  
13 You know, that we, as a Panel, encourage the Program to  
14 seek out additional resources to do some non-targeted  
15 screening for these BPA alternative chemicals in a, you  
16 know, small pilot study, because I think that that will be  
17 useful no matter what.

18           And then, at the same time, I would encourage the  
19 staff to contact the FDA and try to do for the food  
20 contact uses what you've kind of done here for the thermal  
21 paper uses, because it may be sort of a different list.  
22 And I think -- I mean, at least personally, I'm much more  
23 interested in the food contact chemicals than the thermal  
24 paper chemicals, though I think they're all interesting,  
25 and important, I think. If we can get more info from FDA,

1 that would be really helpful for the Panel. And so that  
2 would be just additional research, sort of, preliminary  
3 research.

4           And so then the final piece of what I propose,  
5 you know, might be, you know, whether we want to move  
6 forward with a few selected chemicals on this list for  
7 potential designation in the interim, because, you know,  
8 the other two pieces that I just suggested, the laboratory  
9 piece and that gathering data from FDA wouldn't actually  
10 move the ball forward in terms of designating anything  
11 yet. And if we wanted to look at some potential -- you  
12 know, possibly designating some chemicals at the next  
13 meeting, we might want to identify, you know, a small  
14 subset, maybe including those five or the Tritan  
15 copolymer, which we do know is used in hard plastics, and  
16 very widely used, as something to move forward within the  
17 interim.

18           CHAIRPERSON LUDERER: Any responses or other  
19 comments from other Panel members?

20           Are the Panel members in agreement with the  
21 recommendations that Dr. Solomon just made? Any  
22 disagreement with those recommendations? I think  
23 the -- those are excellent recommendations. Although, the  
24 third one still would require that we come up with a list  
25 of chemicals, which so far we haven't really had any kind

1 of a consensus emerge on how we would choose those.

2 I mean, the suggestion of going forward initially  
3 with the ones that have some evidence of the estrogenicity  
4 in the uterotrophic assay, which is the in vivo  
5 estrogenicity assay would be, I think, a reasonable  
6 approach. It's a relatively more manageable subset of  
7 these chemicals.

8 On the other hand, you know, the in vitro  
9 screens, which I think, is it correct, that they were done  
10 on a larger number of chemicals? It's not that the in  
11 vivo, in vitro screens were done on the same numbers of  
12 chemicals and they didn't all come up in the in vivo?

13 MS. HOOVER: We think you're asking if the blank  
14 cells mean that they did them and it was negative. No,  
15 the blank cells mean we didn't find information.

16 CHAIRPERSON LUDERER: That's what I thought.

17 So, I mean, I think maybe I would be in favor of  
18 keeping the things a bit more broad, at this point. And  
19 maybe those for which there's either in vitro or in vivo  
20 data suggesting that there may be concern for toxicity.  
21 Any other additional comments, agreement, disagreements?

22 PANEL MEMBER WILSON: Could you say that again,  
23 Chair? Restate that.

24 CHAIRPERSON LUDERER: So Dr. Solomon's third  
25 suggestion was that the -- that maybe as far as chemicals

1 to move forward on, in terms materials of doing additional  
2 library research, and maybe developing a designated  
3 document - correct me if I'm not paraphrasing what you  
4 said correctly - was to use those that had come up  
5 positive in the in vivo estrogenicity studies, which is  
6 the uterotrophic assay. And I was suggesting also adding  
7 those for which there was some in vitro data. There's  
8 quite a few where there's in vitro data, evidence of  
9 endocrine disrupting activity, quite a few of them were  
10 PAR, CAR interacting chemicals. So that would be just --  
11 I was suggesting broadening it a bit to include those.  
12 Any other thoughts?

13 PANEL MEMBER WILSON: I guess the one question,  
14 of course, is that the ones that aren't designated as  
15 having in vivo data is that simply because those studies  
16 haven't been done? That's probably right.

17 What do you think we should do, Sara?

18 (Laughter.)

19 MS. HOOVER: Well, I guess -- I mean, that's  
20 partly why I'm more excited about the idea of doing some  
21 lab screening, partly because, you know, we're facing the  
22 same issue that we always face of, okay, we're going to  
23 look under the lamp post. These are the ones that have  
24 been studied, so these are the ones that we're going to  
25 move forward.

1           I don't feel any confidence that, you know, we  
2 can exclude others, at this point. So, I mean, maybe we  
3 could take a dual approach of doing some of the additional  
4 investigation of structures to see the ones that haven't  
5 been tested, you know, is there the likelihood if they  
6 were tested, is there information to suggest that they  
7 would be active, based on a structural analysis. We could  
8 do that rather than just leaving them off. And we could  
9 pair that work with trying to pursue funding to actually  
10 look at what's in people's samples.

11           So I would tend to take that approach rather  
12 than -- and I understand what Dr. Solomon is saying about  
13 not being able to move forward, but I really -- I feel, in  
14 this case particularly, and just given the green chemistry  
15 angle that we want to not just write another document  
16 about information that's there, but try to think a little  
17 bit more broadly in this case, and take a slightly  
18 different tactic than we have before.

19           That would be my suggestion as the next step, and  
20 that we could report back to you on, you know, how  
21 successful we think we're going to be about pilot  
22 screening, and we could report back to you about, okay, we  
23 did some more structural analysis and actually these look  
24 like they're going to be more active. And then we would  
25 have -- like I said, this was kind of a preliminary

1 screen, so we are asking you for input, early, early input  
2 about what to do next.

3 But I think that's probably the angle that I  
4 would suggest rather than moving to a designated document  
5 at this point. I don't know if -- and Laurel says she  
6 agrees.

7 PANEL MEMBER WILSON: Can I ask a clarifying  
8 question then, Sara. So you're suggesting a screening  
9 approach. Does that mean that we -- that the laboratory  
10 would be running a screen on existing samples for this  
11 whole pallet of substances?

12 MS. HOOVER: No. And that's something we'd --  
13 you know, we'd have to work out. I think there's -- you  
14 know, there's a couple different approaches that can be  
15 taken. One approach that Myrto was talking about is  
16 calling around, finding out what people are looking at,  
17 what people have successfully found, what reference  
18 standards there are. That's one approach.

19 Jianwen was talking about another approach that  
20 maybe use to a qualitative identification. I think we  
21 should -- that's what -- when we say investigate possible  
22 pilot screening, that's the kind of work we'd have to do  
23 is figure out what is feasible and what looks possible.  
24 There's also an issue about, you know, depending on the  
25 consent for the samples. We can't necessarily run it, you

1 know, on all sample -- existing samples. So we'd have to  
2 look at that issue.

3 But certainly, I think there'd be some archive  
4 samples, and then we have the possibility of doing  
5 screening and volunteers as well. So that we'd have to  
6 look at, you know, how to make that happen. But I think  
7 that that's definitely worth looking at.

8 CHAIRPERSON LUDERER: Dr. Culver.

9 MS. HOOVER: Mic.

10 PANEL MEMBER CULVER: I'd like a little  
11 clarification on what you mean by screening in volunteers.

12 MS. HOOVER: Well, for example, this is something  
13 that Dr. Petreas has already done. She has IRB approval  
14 for convenient samples. So I was one of her volunteers,  
15 for example, when she was testing sample tubes. She's  
16 operating under, you know, full regular IRB approval, full  
17 consenting, return of results and so forth. So I don't  
18 know, Rupa, do you want -- can you say anything more about  
19 how you address volunteers that I haven't already covered  
20 or have I covered it?

21 DR. DAS: I think Sara has covered what we're  
22 referring to. I just want to mention that that study that  
23 Sara is referring to is one of the ones that's described  
24 in the initial results return packet.

25 MS. HOOVER: The pilot. Yeah, the ECL pilot.

1 DR. DAS: Yes, I don't remember the exact  
2 terminology that's used to refer to it, but it is  
3 described in the results return packet.

4 MS. HOOVER: Dr. Culver, can you speak into the  
5 mic.

6 PANEL MEMBER CULVER: Can you describe it just  
7 very briefly again.

8 DR. DAS: I'll describe what I can. Dr. Petreas  
9 is the one who would be able to describe it better, but  
10 she --

11 Okay. Michael Lipsett would like to describe it.

12 DR. LIPSETT: Hi. Michael Lipsett.

13 At the outset of the Biomonitoring Program, we  
14 wanted to be able to test some of the laboratory methods  
15 initially. And we got -- Myrto and I initially had  
16 written up a protocol for the State IRB to be able to  
17 recruit people just to be able to get the kinds of samples  
18 that we're talking about right now.

19 It was initially to test methods for different  
20 kinds of -- well, for the different kinds of chemicals we  
21 were going to be looking at initially, but that the  
22 approval that we got from the IRB was broad enough to  
23 encompass the kinds of screening that we're talking about  
24 here. So additional samples could be obtained from  
25 volunteers to look -- and if we have a -- I understand

1 actually that our lab may have a TOF even to be able to do  
2 this kind of non-targeted screening.

3 PANEL MEMBER CULVER: Did that include chemicals  
4 for which you have almost no toxicological information?

5 DR. LIPSETT: It's a pretty -- I don't have the  
6 protocol here with me, but it was written to be broad  
7 enough to encompass a variety of different situations that  
8 would occur in the development of the program. Now, I  
9 think the principal limitation on it though, at the  
10 outset, was it was limited to 100 people. And I don't  
11 think we're anywhere close to that in that -- in the  
12 numbers that have participated so far.

13 PANEL MEMBER CULVER: The IRB allows you to give  
14 an untested chemical to a person?

15 DR. LIPSETT: No, no, no, no.

16 MS. HOOVER: No, No.

17 DR. LIPSETT: No. No. It's to test the -- I'm  
18 sorry. It was initially designed to test analytical  
19 methods for looking at different kinds of chemicals in  
20 people. It was not to administer chemical -- it was not  
21 to do -- I see what you're -- I see where the confusion  
22 is.

23 We would not be administering these chemicals to  
24 people. This would be obtaining samples, like urine or  
25 blood samples, and then examining them in a non-targeted

1 way, because we don't have -- necessarily have the methods  
2 for these kinds of things developed at this point.

3 PANEL MEMBER CULVER: So how is the screening  
4 done? I'm sorry I'm so dense.

5 DR. LIPSETT: Okay. My understanding -- I was  
6 not here for the discussion this morning, but you can do  
7 non-targeted screening using a Time of Flight  
8 spectrometer. And we are scheduled to get one of those in  
9 DTSC lab under the CDC funding, but I -- and Dr. She could  
10 tell us. I think that our laboratory actually -- not his,  
11 but within the CDPH laboratory there is a TOF available,  
12 if I'm not mistaken.

13 MS. HOOVER: I think also, Dr. Culver, you  
14 probably missed this excellent presentation that Dr.  
15 Gerona gave on non-targeted screening at one of our  
16 meetings. I believe it was in July, if I'm not mistaken.  
17 So I can provide you with that, which gives a lot of  
18 technical detail on exactly how the method is done.

19 And, Jianwen if, you want to say something.

20 DR. SHE: Under this -- yeah, this case -- I  
21 think this is still called a targeted screening, because  
22 this -- we already have the chemical structure in our  
23 hand. We know which one we're looking for. So that's a  
24 lot of full spectrum of untargeted screening.

25 We targeted on this group of the bisphenol A. We

1 even do not know the quantity of how much they're there,  
2 but we tried to look for, if they are there. We do not  
3 look for the quantity of information. So this still  
4 belong to a little targeted screening.

5           So, for example, we know bisphenol F. We know  
6 the molecular weight. We put the molecular weight inside  
7 the instrument. We know the fragment packings. We put  
8 the fragment adult ion there. We try to confirm with it.  
9 So I tried to clarify this is a targeted screen, instead  
10 of bigger, broader wide untargeted screening.

11           So, by the way, we do not need a TOF kind of  
12 instrument. The TOF machine is tremendous -- mean to  
13 follow untargeted screening.

14           CHAIRPERSON LUDERER: Dr. Solomon.

15           PANEL MEMBER SOLOMON: Just a quick addition. If  
16 this laboratory purchase goes forward, which I hope it  
17 will, it would be important to do the due diligence with  
18 the FDA early on, because if there are other chemicals  
19 that the laboratory should be looking for, we would want  
20 to know their molecular weights as well. And so these  
21 would be these food contact substances alternatives. And  
22 so -- I guess my -- my concern is that it could take  
23 awhile to do this, because there's no funding available  
24 yet. You know, writing a proposal, getting the funding in  
25 place, doing the analytics, et cetera, could be a, you

1 know, a year before we have anything really to look at.

2           And so it makes me a little anxious to have  
3 chemicals that are, you know, very -- you know, that have  
4 evidence of endocrine disruption and evidence of human  
5 exposure and some significant likelihood that their use is  
6 increasing, that we're not -- you know, that aren't --  
7 that we're just sort of holding off on in our pipeline,  
8 until we get these results. And so that's sort of why I  
9 was trying to find a way to move a few chemicals forward.

10           To my mind, that doesn't mean that -- you know,  
11 if we look at a small number of chemicals from this  
12 list and made a decision on whether or not to designate  
13 those, we could still subsequently go back relatively  
14 easily and broaden those designations, I would think, to a  
15 class, once we have information -- you know, a little bit  
16 more information to go on.

17           MS. HOOVER: Yeah. I definitely didn't mean to  
18 imply that we wanted to sort of postpone, but I was trying  
19 to -- you know, looking at multi-pronged approach. So I  
20 think that -- my only hesitation was in trying to pick  
21 from this list now, and saying, yes, let's move these  
22 forward for designation. That I personally would --  
23 because that's what we wanted to emphasize, this isn't  
24 exhaustive. We haven't looked at everything. We're not a  
25 hundred percent sure we found everything. So to base a

1 decision of let's look at these, I'm just a little bit  
2 uncomfortable with that.

3           However, we can definitely do more work and give  
4 you an update, you know, a brief update, on what we found,  
5 and then we could -- so we could keep that process moving  
6 forward too, along with the lab screening. I agree with  
7 you that it's a very interesting exciting process, but  
8 there's a lot of things we have to look at in order to  
9 actually make that happen, the lab screening, so we can  
10 keep the other side moving forward at the same time.

11           CHAIRPERSON LUDERER: Did you have a comment, Dr.  
12 Wilson.

13           PANEL MEMBER WILSON: I think that makes sense.  
14 And I guess I would also -- I keep coming back to this  
15 thought that what you've identified here or what you've  
16 presented us with is evidence of -- that's pertinent to a  
17 structural problem with public policy decision making in  
18 California. And that's -- it's -- this is very  
19 interesting and pertinent information in that context.  
20 And so I guess at the risk of putting you on the spot, my  
21 question is, is this information -- can this information  
22 be made available to the public or can it be packaged in a  
23 form, in that you've done the great majority of the work  
24 here, as an interim finding or a report to the Secretary  
25 of CalEPA for example?

1 MS. HOOVER: So this is public, first of all. So  
2 it's posted on our website, so the information is public.  
3 It's definitely there and this is a public process. So  
4 that's the first thing.

5 In terms of repackaging it, you know, I think  
6 that that's definitely an option. I might ask George or  
7 Lauren to comment on that idea about a repackaging for  
8 that purpose.

9 (Laughter.)

10 PANEL MEMBER WILSON: Any takers?

11 DR. ZEISE: It's something for consideration.  
12 I'd be happy to -- I mean, we'd be happy to consider it.

13 MS. HOOVER: Lauren says we'd be happy to  
14 consider that. I want to also note though that what I  
15 already said, which is we have made -- for example, I  
16 brought up the screen with Debbie Rafael of DTSC. You  
17 know, we certainly could brief the Secretary of CalEPA on  
18 these findings. You know, those kinds of things are  
19 options without moving forward necessarily to repackaging  
20 the information.

21 DR. ZEISE: I was just going to bring up the same  
22 thing that, you know, basically we do collaborate with the  
23 other programs in CalEPA. We do collaborate with the  
24 Green Chemistry Program. So I don't know, George, if you  
25 want to add anything further.

1           OEHHA ACTING DIRECTOR ALEXEEFF: No.

2           (Laughter.)

3           CHAIRPERSON LUDERER: Dr. Solomon.

4           PANEL MEMBER SOLOMON: My suggestion, I think  
5 this isn't quite there -- quite to the point yet where we  
6 would want to present it more broadly because of the fact  
7 that I have a sneaky feeling that a whole lot of chemicals  
8 are actually missing here because of the FDA uses. But if  
9 you're able to get the information on some of the food  
10 contact uses, something like this could be actually, I  
11 think, very useful as a journal article.

12           And I would encourage you to strongly consider  
13 writing it up as such, just to discuss the process of  
14 assessing alternatives. And, you know, step number one is  
15 to try to figure out what -- you know, what chemicals are  
16 coming on the market. And you can discuss the fact that  
17 some of these are proprietary and there isn't even  
18 chemical structure information. Then, you know, others we  
19 have the names of the chemicals, and some sense of the  
20 uses, but it's impossible to get, you know, use  
21 quantities. And for others, there's, you know, no  
22 toxicity data or no exposure data. And, you know, really  
23 just sort of go through the whole process of what  
24 California did in an effort to evaluate this for the  
25 Biomonitoring Program. And I think a paper like that

1 would be extremely helpful.

2 CHAIRPERSON LUDERER: Dr. Alexeeff.

3 OEHHA ACTING DIRECTOR ALEXEEFF: Sorry, Gina,  
4 that's -- this is George Alexeeff. That's what I was  
5 trying to think through in my mind about structuring it  
6 for more discussion in the scientific community, some of  
7 these issues that are raised from here. And I wanted to  
8 see of going back and meeting with staff and talking about  
9 how we would do that. But your suggestion is a good one  
10 to kind of think that through in that kind of a structure.  
11 And I think we should look at the information here and see  
12 how we can continue this discussion in the scientific  
13 community, because I think it raises a number of  
14 interesting points.

15 CHAIRPERSON LUDERER: Dr. Zeise.

16 DR. ZEISE: I guess the final thing is that from  
17 a toxicological point of view, of course, there's a lot of  
18 interesting BPA substitutes. There's a lot of researchers  
19 that are beginning to look at these, input, and there is  
20 some look through screens. The extent to which these  
21 particular compounds have been looked at, we have done  
22 some preliminary work, but we haven't sort of scoured the  
23 universe for people that are interested in these kinds of  
24 compounds.

25 We were at a SOT. There were people -- the

1 Society of Toxicology. There were some people at the  
2 Society of Toxicology - this was meeting over this past  
3 week - that have actively looked at some of the -- some  
4 compounds that are BPA replacements. And so another piece  
5 of that is to actually further follow up with them.

6 CHAIRPERSON LUDERER: Dr. Solomon.

7 PANEL MEMBER SOLOMON: For a paper, it actually  
8 would be kind of helpful to focus on what's in the  
9 published literature, which I think will not be -- I mean,  
10 a lot of the things that are -- you know, that these  
11 researchers are currently looking into doing exploratory  
12 studies on won't be published yet, and therefore wouldn't  
13 be widely accessible to the public.

14 And so I think that it's important to in a -- you  
15 know, if you're going to write this up as a paper, focus  
16 on what actually is publicly available, which will only --  
17 which will not be very much for most of these chemicals.

18 DR. ZEISE: Yeah. And I guess one of the things  
19 I was thinking about were the high throughput screens.  
20 There is more and more information being made public  
21 through EPA's work on their Tox21 and ToxCast project.  
22 And there's great interest in BPA, because it lit up so  
23 many different pieces on the estrogen disruption screens.  
24 And you look at what they call their tox pies.

25 So there is real interest in BPA-like compounds

1 as well. And I just don't know the extent to which  
2 they've begun to work on that. And NTP is also interested  
3 in addition to EPA. So it could be that those high  
4 throughput data might be available. I don't know.

5 CHAIRPERSON LUDERER: Dr. Wilson.

6 PANEL MEMBER WILSON: Thank you. I just -- I  
7 think Dr. Solomon's idea is also -- I mean, is a great  
8 idea. And I was actually going to make the same comment  
9 that for other actors across the U.S. who are  
10 contemplating BPA phaseouts or other substances phased out  
11 individually and so forth within -- you know, we have 18  
12 individual States taking actions on chemicals policies of  
13 different kinds, and this is sort of the first indication  
14 of what happens in the wake of some of those decisions.

15 And so, you know, publishing it in the literature  
16 is a great -- I think would be a great contribution. And  
17 doing so, I think you know as Dr. Solomon has said, with  
18 information that's available publicly that, you know, a  
19 reasonably -- with reasonable due diligence could be  
20 obtained by a State EPA and so forth would be a really  
21 nice contribution.

22 CHAIRPERSON LUDERER: Okay. So we need to move  
23 on to the end of the presentation. We've gotten ideas  
24 from Panel members, and I think we've addressed the  
25 questions that you had.

1 MS. HOOVER: Yeah. Thank you so much for all of  
2 the really great input. We will look it over and move  
3 forward and brief you in the future on our progress.

4 So I just want to end the item with this. We've  
5 talked about this in the past, and we were going to bring  
6 it back to you. And we've thought about it quite a bit.  
7 And this relates to working on revising the listing of  
8 PAHs as designated and priority chemicals.

9 And just as a little background, the PAHs that  
10 are currently designated are based on CDC. The Panel did  
11 move a small number, three hydroxy-PAHs, forward as  
12 priority chemicals. And that discussion and decision was  
13 essentially based on what the predicted lab capability was  
14 at the time.

15 The lab now has capability for many more PAHs and  
16 aren't necessarily measuring the same ones that they had  
17 predicted. So we recognized awhile ago that we should  
18 revisit PAHs. So the proposal that we are bringing to you  
19 today is that the Program -- in terms of designation, I'll  
20 explain a little bit more why this would be a good way to  
21 go, that we are proposing that the Program would just  
22 develop a very simple one pager, basically, to support  
23 Panel consideration of PAHs as a class for designation.

24 And the advantage of that is that it basically  
25 gives flexibility to the Program to look at PAHs for

1 various purposes. For example, there's some desire from  
2 the Panel to eventually look into potential markers for  
3 diesel. So rather than continually bringing you back PAHs  
4 that might be of interest for particular purposes, we  
5 could just get the whole class on as designated chemicals,  
6 and then we could later consider -- you know, from that,  
7 you could either just recommend the full class as priority  
8 or you could direct us to look at a particular subset.

9 But essentially because of the interest in PAHs  
10 and the importance the Panel has put on it and the lab  
11 capability that's developing, we thought this would be a  
12 simpler approach, rather than spending a lot of Program  
13 resources on developing multiple documents or more  
14 in-depth documents. So this is the -- just an idea we  
15 wanted to get your thoughts on before we move forward with  
16 something like that.

17 CHAIRPERSON LUDERER: Any comments from Panel  
18 members on that proposal?

19 Dr. McKone.

20 PANEL MEMBER MCKONE: It makes a lot of sense. I  
21 think -- I mean, I recall from our discussions that we  
22 were thinking of PAHs as a class, but realized that we  
23 couldn't make the recommendation because of the lack of  
24 capabilities, so it probably is consistent. I'll have to  
25 look back, but I do remember this question of whether we

1 want to pick them out or do them as a class, but I think  
2 we tend to think of them as a class.

3 CHAIRPERSON LUDERER: Dr. Solomon.

4 PANEL MEMBER SOLOMON: Well, would this include  
5 nitro-PAHs?

6 MS. HOOVER: We could -- yeah, I mean, I guess we  
7 could define the class how we would -- what would make  
8 sense. So we certainly could. We could define it that  
9 way. We could define it a certain way. I do know that  
10 part of the discussion actually was a discussion with Dr.  
11 Luderer or Dr. Solomon about, you know, being careful  
12 about which PAHs that you actually ultimately focus on for  
13 priority purposes.

14 So what I'm proposing now is to just simplify  
15 matters, because it becomes a little difficult when we  
16 have a subset, because our designated list starts off with  
17 a lot of these as a subset of chemicals based on the CDC's  
18 lab capability. I mean, that's where the designated list  
19 comes from. So the CDC's capability changes and shifts.  
20 They drop some. They might add some more. Those we can  
21 automatically deal with.

22 But in terms of the Program's development and  
23 potentially striking out into new areas, it would be  
24 simpler to have the class designated. It would give the  
25 Program that flexibility, if the Panel has that level of

1 interest. Otherwise, we have to just continue to change  
2 our designated list based on CDC, and then, you know,  
3 bring you additional ones as documents, first for  
4 designation and later for priority. So we're just trying  
5 to streamline the process of moving forward on other PAHs.  
6 And that's just one idea.

7 CHAIRPERSON LUDERER: Dr. Solomon.

8 PANEL MEMBER SOLOMON: I'm honestly not sure that  
9 a one-pager would be enough, but I think I would -- I  
10 mean, I would support the staff bringing back to us a  
11 proposal to look at PAH's as a group as designated  
12 chemicals. I think it might -- we might need a little  
13 more than a page of information to go on, just because it  
14 is a complex class, and has been broken down in various  
15 different ways by different researchers. And some PAHs  
16 have toxicity weighting factors and others don't. And so  
17 we should, you know, have the information in front of us  
18 about which ones, you know, are incorporated, you know, in  
19 the usually weighting schemes for carcinogenicity or  
20 non-cancer toxicity, and which ones are being biomonitored  
21 versus not by any entity, and sort of looking at the  
22 different ways that PAHs break down, so that we can at  
23 least have some sense of what we're getting into and  
24 recommending. But I don't think it needs to be a huge  
25 document, but I'd like a little more than just sort of,

1 like, yes to all PAHs, because it's very complicated.

2 MS. HOOVER: Yeah. I mean, maybe I'm overstating  
3 one-pager, but basically simplified. You know, a  
4 simplified designated document. I don't think that it's  
5 worth it to put a lot of Program resources into developing  
6 the kind of designated document we might do on emerging  
7 chemicals. You know, the toxicity is well known, and --

8 PANEL MEMBER SOLOMON: Right. You don't have to  
9 re-explain to us why PAHs are bad.

10 MS. HOOVER: Exactly. Exactly. That's my point  
11 is, you know, can we move forward in a simple way to try  
12 to clean up this listing problem and give the Program  
13 flexibility. That's the idea.

14 CHAIRPERSON LUDERER: Yeah. I think a tabular  
15 format summarizing a lot of the information that's already  
16 out there would, you know, probably be a way that would be  
17 preferable than going chemical by chemical and coming up  
18 with a designate -- I mean, you know, that could take a  
19 very long time.

20 Do other Panel members have any comments on this  
21 item?

22 So there seems to be agreement among the Panel  
23 members to move forward with the idea for an abbreviated  
24 document to consider PAHs as a class.

25 We did -- we do have actually, I think, a public

1 comment that came in by email about -- that's related to  
2 the PAHs, so I will read that now. This is from Matthew  
3 Gribble, at I believe it's the Johns Hopkins School of  
4 Public Health.

5           And he says, "Dear, Scientific Guidance  
6 Panel, I would like to recommend the synthetic  
7 musk fragrances as an exposure of interest for  
8 monitoring in at least a sub-sample of the  
9 California population, in particular the common  
10 musk Galaxolide, which is a High Production  
11 Volume chemical found in many consumer products.

12           "Polycyclic musks, such as Galaxolide, bear  
13 many structural similarities to PAHs and are  
14 likely common exposures in the population, but  
15 little or no systematically sampled survey data  
16 are yet available, so there is not a clear  
17 picture of the true prevalence of these chemicals  
18 in human tissues.

19           "In convenience samples from around the  
20 world, these chemicals generally show up in  
21 greater than 90 percent of the sampled  
22 participants, and these chemicals appear in  
23 multiple matrices, including blood, adipose  
24 tissue, breast milk, and even umbilical cord  
25 blood. Toxicological information is sparse, but

1       there is some evidence suggesting possible  
2       endocrine disrupting and chemosensitizing, (such  
3       as xenobiotic metabolism and transport-impacting)  
4       behavior for these chemicals.

5               "Therefore, it would be very beneficial to  
6       begin understanding how common these chemicals  
7       actually are in the general population and  
8       whether large scale epidemiological studies would  
9       be a useful investment for the public health  
10       community.

11               "I'm attaching a partial (not systematic)  
12       reference list on these chemicals if this email  
13       has raised any interest in learning about and  
14       potentially measuring this probably very  
15       interesting exposure. I think synthetic musks  
16       would be a very valuable thing to consider  
17       including in the California Environmental  
18       Contaminant Biomonitoring Program.

19               "Thank you."

20               So that's -- any comment or reactions to that  
21       from Panel members?

22               Dr. Solomon.

23               PANEL MEMBER SOLOMON: Yeah. Well, I actually  
24       have one other thought about the PAHs, which is, you know,  
25       the other question about PAHs is, is there a molecular

1 weight cutoff that we might want to think about? Like  
2 the -- you know, sort of get down into the asphaltenes and  
3 these extremely big heavy compounds that are probably not  
4 all that well absorbed, and, you know, a certain point we  
5 might want to consider a cutoff that these, you know,  
6 chemicals are just too big and maybe not. But I would  
7 just encourage -- that would be a question that I would  
8 have in the, you know, listing of PAHs as a class, whether  
9 we want to look at that. And so I'd like to see something  
10 on that in the document.

11 But on the nitro-musks and musk xylenes, I think  
12 those are -- that's a very, very interesting group of  
13 chemicals. And I actually don't know why it hasn't come  
14 up before.

15 MS. HOOVER: It's on our radar. It's part of  
16 what we have on our list of things to screen definitely.  
17 So it has come up, and Gail has done some research on  
18 musks already.

19 PANEL MEMBER SOLOMON: I would be very interested  
20 in seeing more.

21 MS. HOOVER: Yeah. With regard to your comment  
22 about the weight cutoff, we can certainly look at that.  
23 You know, that also could be a possibility in terms of  
24 priority chemicals, you know, just to keep the designation  
25 simple and just get it designated and find an appropriate

1 way to describe the class, you know, on the designated  
2 list, and then consider more detailed considerations, you  
3 know, in terms of moving things forward as a priority for  
4 biomonitoring in California. So that would be one way to  
5 handle that.

6 But we'll certainly consider your comments and,  
7 you know, think about how to talk about the class and  
8 provide as much information related to that as we can in  
9 the abbreviated document.

10 CHAIRPERSON LUDERER: Okay. Thank you.

11 I think we need to move on, because we do have an  
12 open public comment period here before we wrap up.

13 And we have received one comment via email. Do  
14 we have any people who would like to speak?

15 Thank you.

16 All right. We'll take the in-person comment.  
17 This is from Trudy Fisher.

18 MS. FISHER: Hi. I'm always glad when you have  
19 the meetings in Oakland, because it means I can come in  
20 person and thank you so much, myself, for all the hard  
21 good work you're doing, especially today's meeting.

22 There's just been such a qualitative leap  
23 forward. I can't believe it. I feel like we're  
24 finally -- it's finally happening. Everything that was  
25 mandated is really set in motion and we're seeing results

1 and so on. So thank you so much.

2 As someone who worked seven years in a building  
3 that had ventilation problems next to an autobody shop  
4 where the chemical were being vented out for worker safety  
5 and into my building, I was like the household dust, you  
6 know, the passive screener. And 20 years later, I still  
7 have cognitive problems and other health issues from that.  
8 So obviously this project is very dear to my heart.

9 I just wanted to give specific feedback very  
10 quickly on two things. One is Table 3 in the second  
11 presentation that Dr. Das made. Just as a member of the  
12 public, I actually thought it was -- I thought both the  
13 presentations were just wonderful. Table 3 I thought was  
14 very readable. The column at the end that talks about  
15 detection and so on, just as someone from the public, I  
16 had a great response to that. When you see those high  
17 figures in 100 percent of the people that we look -- or  
18 people, Californians, that we looked at, 95 percent,  
19 whatever, we found this chemical.

20 It seems to me that that's what was mandated with  
21 this legislation. I think it's a very effective body of  
22 facts. If you want to then break it down, certainly on a  
23 website all you do really is you could click on that 100  
24 percent. It could take you to a separate page where there  
25 would be an NHANES reference. And as a matter of fact, it

1 could be as specific as you need it to be for that  
2 chemical, because it has a page devoted to itself.

3           And then even in the print version of that,  
4 certain it's just one additional page. So that was just a  
5 thought. But I certainly thought to see that listing,  
6 especially with those high percentages, was very  
7 effective.

8           The one other very quick thing is that early  
9 this -- or earlier this morning, when we were talking  
10 about the FOX study, just that in thinking about kind of  
11 getting the details to other firemen and so on, so they  
12 would have that knowledge, I just would want to say, as  
13 somebody who is chemically overreactive and so on, to hope  
14 that the people would then possibly buy additional  
15 personal protective equipment and so on. My feeling is  
16 that personal protective equipment could be part of the  
17 problem. You know, you're there. You're wearing  
18 supposedly fire retardant fire protective things on your  
19 skin. You're breathing it in. It's close. That could  
20 also be the source of some of the toxins. So just a  
21 thought. But thank you so much really.

22           CHAIRPERSON LUDERER: Thank you very much for  
23 your comment.

24           I'm going to read the public comment that came in  
25 by email as well. This one is from Davis Baltz of

1 Commonweal.

2 He says, "I'm sorry I'm not able to join you  
3 today in person, and realize that Biomonitoring  
4 California's budget is not an agenda item.  
5 Nevertheless, I believe it's important to comment  
6 on the value of our State's Biomonitoring Program  
7 as we hear reports that the Program may face cuts  
8 that would make it virtually impossible for the  
9 Program to carry out its mission and statutory  
10 mandates.

11 "California has invested important resources  
12 in this program since it was created in 2006.  
13 The statute laid out an ambitious plan for  
14 California to develop biomonitoring capacity that  
15 promotes and protects public health by  
16 determining levels of human exposure to priority  
17 chemicals in our State over time. This in turn  
18 would enable Californian to identify vulnerable  
19 populations or communities exposed to hire levels  
20 of chemicals to assess the effectiveness of  
21 current regulations, to indicate priorities for  
22 subsequent legislative or regulatory action, and  
23 to evaluate the effectiveness of these  
24 interventions.

25 "The dedicated staff of Biomonitoring

1 California drawn from the Department of Public  
2 Health, DTSC, and OEHHA, have done an outstanding  
3 job of building this Program, despite chronic  
4 underfunding since the Program's beginning.  
5 Important laboratory equipment has been acquired,  
6 staff have been hired and trained, public  
7 outreach has been conscientious. Significant  
8 extramural resources have been mobilized, most  
9 notably via the five-year MOU with the CDC. One  
10 could not ask for more from the people who work  
11 daily for this Program.

12 "Similarly, the Scientific Guidance Panel,  
13 which was created to advise the Program, has been  
14 a model science advisory panel. It has provided  
15 thoughtful and strategic input in an atmosphere  
16 of profession integrity and civility.

17 "At the time the legislation that created  
18 Biomonitoring California was enacted, polling  
19 data showed an overwhelming majority of  
20 Californian voters, 83 percent, supported the  
21 creation of the Program. Californians want to  
22 know about toxic chemicals in their bodies.

23 "As a public interest stakeholder who has  
24 followed this Program since its inception, I can  
25 assure you that community groups and NGOs across

1 the State continue to support our biomonitoring  
2 program. They have recognized the Program has  
3 developed more slowly than originally envisioned  
4 because funding has never been sufficiently to  
5 accomplish its mandates, but they are eager to  
6 utilize Program findings as they now start to  
7 come on line, and they are full of ideas for  
8 additional studies that would shed light on  
9 Californian's unique chemical exposure patterns.

10 "As you have heard today in staff  
11 presentations, data are being generated that are  
12 enormously useful contributions to public health.  
13 It would be extremely short-sighted to sacrifice  
14 this Program as the investments in its  
15 development are now producing returns.

16 "Furthermore, ongoing CDC support would be  
17 threatened, essentially throwing away funds that  
18 have already been raised. Let's remember that  
19 Biomonitoring California's budget was initially  
20 in the general fund. When its budget was  
21 transferred to DTSC's TCSA account some two years  
22 later, there was trepidation that the Program's  
23 future would be more vulnerable. DTSC is an  
24 important partner in Biomonitoring California,  
25 but the Program is a collaboration of three

1 agencies, and it is not solely a DTSC program, by  
2 any means. A return to a stable funding  
3 mechanism is needed and this will require a  
4 common recognition that biomonitoring is  
5 necessary in California.

6 "Biomonitoring provides us with essential  
7 data that will enable California to stretch  
8 scarce public health resources, ultimately saving  
9 the State millions of dollars in health care  
10 costs and environmental remediation. We cannot  
11 effectively understand human diseases and  
12 environmental health risks until we collect and  
13 establish the human exposure database that a  
14 scientifically based biomonitoring program can  
15 provide.

16 "Thank you for the chance to comment."

17 And thank you very much for the comment. I think  
18 the Panel agrees with much of that, most of it, all of it.

19 PANEL MEMBER SOLOMON: All of it.

20 (Laughter.)

21 CHAIRPERSON LUDERER: Any other comments from  
22 Panel members?

23 Okay. Well, that is the -- Dr. Das, did you have  
24 a comment?

25 DR. DAS: Thank you, Dr. Luderer. I have a

1 follow up from one of my presentations this morning. One  
2 of the Panel members asked about the response rate or  
3 refusal rate for BEST, the Kaiser representative sample,  
4 and I have some information. I think we'll present more  
5 detailed information at a future Panel meeting.

6 But I did want to get back to you, because I said  
7 I would. We have data back from three counties where the  
8 recruitment has, and enrollment has been completed,  
9 because we have quotas for each county, and that's  
10 Sacramento, San Joaquin, and Fresno counties.

11 And the enrollment rate varies, as I said, by  
12 county between 12 percent and 24 percent of everyone who  
13 was invited to participate. We are still recruiting  
14 enough participants -- well, we're sending -- we continue  
15 to recruit until we have the quota for each county. So we  
16 don't stop. If we get people who choose not to  
17 participate or who don't respond, we continue to send out  
18 invitations until we get the number we want. But the  
19 enrollment rate is -- varies between 12 and 24 percent.  
20 And it's a little complex. It's difficult to explain  
21 without visually representing it, but I just wanted to  
22 present that information.

23 It is a -- if you just look at the numbers, it's  
24 a relatively labor intensive process to keep recruiting  
25 people until you get the number desired, but because

1 we're -- we have Kaiser and the ability to stratify and  
2 choose potential participants electronically, it saves us  
3 a lot of effort.

4 CHAIRPERSON LUDERER: Thank you very much for  
5 that clarification and that additional information. I  
6 would think that with the Kaiser database one would also  
7 have the ability to be able to at least do some sort of an  
8 analysis to see whether those people who didn't choose to  
9 participate differed in any kind of -- you know, some sort  
10 of systematic way from those who did that may be worth  
11 considering, if it's possible.

12 DR. DAS: Certainly, all the data is electronic  
13 in the Kaiser system, so that's information we can get.  
14 And what I'm not describing in detail is there are certain  
15 people who decline to participate and others who did not  
16 respond, and still others who are eventually not chosen  
17 because we filled our quota, so it's a little more complex  
18 than just a refusal rate.

19 CHAIRPERSON LUDERER: Thank you.

20 Okay. All right. So then I would like to  
21 announce before we close that the next Scientific Guidance  
22 Panel meeting will be held in Sacramento, and the date for  
23 that will be July 26th, 2012. And with that, I'd like to  
24 adjourn the meeting. Thank you again for coming and for  
25 your participation, for the great discussions and

1 presentations that we had today.

2 Thank you.

3 (Applause.)

4 (Thereupon the California Environmental  
5 Contaminant Biomonitoring Program, Scientific  
6 Guidance Panel meeting adjourned at 4:54 p.m.)

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

