

MEETING
STATE OF CALIFORNIA
OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT
ENVIRONMENTAL CONTAMINANT BIOMONITORING PROGRAM
SCIENTIFIC GUIDANCE PANEL

ELIHU M. HARRIS STATE OFFICE BUILDING
1515 CLAY STREET
ROOM 2
OAKLAND, CALIFORNIA

MONDAY, MAY 24, 2010

10:06 A.M.

JAMES F. PETERS, CSR, RPR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

APPEARANCES

PANEL MEMBERS

Dr. Ulrike Luderer, Acting Chairperson

Dr. Asa Bradman

Dr. Dwight Culver

Dr. Marion Kavanaugh-Lynch

Dr. Julia Quint

Dr. Gina Solomon

Dr. Michael P. Wilson

OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT

Dr. Joan Denton, Director

Mr. Allan Hirsch, Chief Deputy Director

Ms. Carol Monahan-Cummings, Chief Counsel

Ms. Amy Dunn, Safer Alternative Assessment and
Biomonitoring Section

Ms. Sara Hoover, Chief, Safer Alternative Assessment and
Biomonitoring Section

Dr. Farla Kaufman, Research Scientist, Reproductive
Toxicology and Epidemiology

Dr. Gail Krowech, Staff Toxicologist, Safer Alternatives
Assessment and Biomonitoring Section

Dr. Lauren Zeise, Chief, Reproductive and Cancer Hazard
Assessment Branch

APPEARANCES CONTINUED

DEPARTMENT OF PUBLIC HEALTH

Dr. Rupali Das, Chief, Exposure Assessment Section,
Environmental Health Investigations Branch

Ms. Diana Lee, Research Scientist

Dr. Sandy McNeel, Research Scientist

Dr. Robert Ramage, Research Scientist

Dr. Jianwen She, Chief, Biochemistry Section

DEPARTMENT OF TOXIC SUBSTANCES CONTROL

Dr. Myrto Petreas, Chief, Environmental Chemistry Branch

ALSO PRESENT

Dr. Felix Ayala-Fierro, Henkel

Mr. Davis Baltz, Commonweal

Dr. Kenneth Bogen, Exponent, Inc.

Ms. Luanne Jeram, LANXESS

Mr. Carl D'Ruiz, Henkel

Mr. David Steinberg, Steinberg & Associates(via Email)

INDEX

	<u>PAGE</u>
Welcome	
Joan Denton, Ph.D., Director, Office of Environmental Health Hazard Assessment (OEHHA)	1
Overview of the Meeting	
Ulrike Luderer, M.D., Ph.D., Acting Chair, Scientific Guidance Panel (SGP)	2
Program Update	
Presentation: California Department of Public Health (CDPH)	5
Panel Questions	17
Public Comment	24
Panel Discussion and Recommendations	25
Laboratory Update	
Presentation: CDPH	25
Panel Questions	30
Presentation: Department of Toxic Substances Control (DTSC)	38
Panel Questions	46
Public Comment	50
Panel Discussion and Recommendations	50
Potential Designated Chemical: Triclocarban	
Presentation: OEHHA	51
Panel Questions	60
Public Comment	68
Panel Discussion and Recommendations	105
Afternoon Session	112
Overview of Draft Public Integration Plan	
Presentation: OEHHA and CDPH	112
Panel Questions	123
Public Comment	129
Panel Discussion and Recommendations	138
1	
Firefighter Occupational Exposure Project (FOX)	
Presentation: CDPH	145
Panel Questions	162
Public Comment	173
Panel Discussion and Recommendations	173

INDEX CONTINUED

	<u>PAGE</u>
Potential Priority Chemicals: Parabens (those that are already designated)	
Presentation: OEHHA	178
Panel Questions	181
Public Comment	186
Panel Discussion and Recommendations	187
Implementation of New Format and Other Issues for Designated and Priority Chemical Lists: SGP Recommendations	
Presentation: OEHHA	192
Panel Questions	103
Public Comment	206
Summary of SGP Recommendations OEHHA	206
Selection of Panel Chair Facilitator: Joan Denton, Ph.D. (OEHHA)	210
Adjournment	214
Reporter's Certificate	215

1 (Laughter.)

2 DWR DIRECTOR DENTON: Okay, 2010. The focus of
3 the meeting was to get the Panel's advice and input on
4 potential designated and priority chemicals, a proposed
5 change in the format for the designated and priority
6 chemicals' list, and the questionnaire and participant
7 materials for the MIEEP, which is the Maternal Infant
8 Environmental Exposure Project. It's also known as
9 Chemicals in Our Bodies Project. Dr. Luderer will be
10 going over today's agenda in just a minute.

11 My last comment is at the end of this meeting, we
12 will -- I will be facilitating the selection by the Panel
13 members of our permanent chair. With Dr. Moreno's
14 departure at the last meeting, Dr. Ulrike Luderer has
15 graciously agreed to facilitate this meeting. But then at
16 the end of today, then we'll need for you to select the
17 permanent chair.

18 So with that, I will turn it over to our Acting
19 Chair, Dr. Luderer.

20 ACTING CHAIRPERSON LUDERER: Good morning. I
21 would also like to thank everyone for coming and welcome
22 you all, members of the public who are joining us by
23 webcast as well as here, the Scientific Guidance Panel
24 members and the Program staff.

25 I also just wanted to briefly summarize our goals

1 for the meeting today. We'll first be receiving updates
2 from the program and laboratory staff. And the Scientific
3 Guidance Panel members and members of the public will have
4 the opportunity to provide input on those.

5 We will receive a briefing on the Firefighter
6 Occupational Exposure Project. And again, there will be
7 opportunities for public and Panel discussion and input.

8 We'll discuss an overview of the Draft Public
9 Integration Plan and comment on the process for developing
10 this draft plan. And there will be -- the Panel will make
11 recommendations on one potential designated chemical and
12 four potential priority chemicals.

13 And there will also be an opportunity to provide
14 input and recommendations on the new format for the
15 Designated and Priority Chemicals as well as other issues
16 related to the Priority and Designated Chemical Lists.

17 I wanted to also mention now that each
18 presentation will be followed by an opportunity for Panel
19 members to ask questions. Then there will be a public
20 comment period. As well as, after that time, for further
21 Panel discussion and recommendations.

22 The way that we'll be handling the public
23 comments is that if a member of the public would like to
24 make a comment, please fill out a comment card, which can
25 be obtained at the staff table, and then turn the cards in

1 please to Amy Dunn. Amy, if you could wave your hand.

2 Okay, great.

3 And then anyone who's listening on the webcast
4 and would like to submit comments can do so by Email to
5 the Biomonitoring Email address, which is biomonitoring
6 one word OEHHA, O-E-H-H-A dot C-A dot G-O-V during the
7 meeting.

8 MR. LLOYD: And we've got it on the screen as
9 well.

10 ACTING CHAIRPERSON LUDERER: Okay, great.

11 And then Program staff will provide all the
12 comments to me, so that I can read them allowed during the
13 meeting, the comments that were sent in by Email. We have
14 10 minutes for each comment period. So depending on how
15 much people wish to comment, that will determine the
16 length of time that will be provided for each of the
17 commenters. And Amy will be our timekeeper for that as
18 well.

19 We also please ask that the commenters focus on
20 the agenda topics that are being presented for their
21 comments. I also wanted to remind the Panel members and
22 the commenters to speak directly into the microphones and
23 to please introduce yourselves before speaking. And this
24 is for the benefit of people who are listening to the
25 webcast as well as for the benefit of our transcriber.

1 Finally, the meetings for -- the materials for
2 the meeting were provided in the meeting folder for the
3 Scientific Guidance Panel members and are also available
4 as handouts and via the website. There's also one folder
5 for viewing at the staff table, which I believe is located
6 in the back corner of the room there.

7 There will be three breaks: One this morning,
8 one for lunch at around 12:30, and one in the afternoon.
9 And we have a list of restaurants in the surrounding area
10 available at the welcome table as well.

11 So we're just about ready to start with the first
12 agenda item now, which is an update on the California
13 Environmental Contaminant Biomonitoring Program
14 activities. And Dr. Rupali Das who is Chief of the
15 Exposure Assessment Section, California Department of
16 Public Health and the lead of the California Environmental
17 Contaminant Biomonitoring Program will be making that
18 presentation.

19 Dr. Das.

20 (Thereupon an overhead presentation was
21 Presented as follows.)

22 DR. DAS: Thank you, Dr. Luderer.

23 Good morning, Panel members and members of the
24 audience. Just a minute before I get this up.

25 This morning I'm going to be giving you an update

1 on the activities since our last meeting.

2 --o0o--

3 DR. DAS: Just as an overview of my presentation,
4 I'll be going over the public name once again, introducing
5 new staff, going over our program goals, giving you an
6 update on the funding status and progress towards meeting
7 our CDC cooperative agreement objectives.

8 --o0o--

9 DR. DAS: Just to you remind you, that the
10 official name of this program is the California
11 Environmental Contaminant Biomonitoring Program, but we've
12 adopted a more public friendly name Biomonitoring
13 California. So throughout this presentation and in
14 documents, you'll see the name Biomonitoring California.

15 --o0o--

16 DR. DAS: Since the last meeting we have hired
17 some new staff, two of the staff are hired under the labs.
18 And Dr. Jianwen She will be introducing them in his
19 presentation. We still have one staff member to be hired,
20 the Laboratory Information Management Systems Specialist.

21 On the State side, we have a new staff member, a
22 research scientist who replaced Robbie Welling. Berna
23 Watson is our new Research Scientist. I'll just introduce
24 her to you.

25 Dr. Watson was trained as a physician in Turkey

1 and did her Masters in Public Health here in the U.S. She
2 has a background in maternal child health, and came to us
3 from the Tobacco Control Branch of the Department of
4 Public Health.

5 --o0o--

6 DR. DAS: To remind you of the goals of the
7 Biomonitoring California Program, there are three goals.
8 First, to determine the levels of environmental chemicals
9 in a representative sample of Californians. Secondly, to
10 establish the trends in the levels of chemicals over time.
11 And finally, to assess the effectiveness of public health
12 efforts and regulatory programs to reduce Californian's
13 exposure to chemicals.

14 In addition to these three goals, the Program is
15 committed to providing opportunities for public
16 participation, through both activities and materials.

17 --o0o--

18 DR. DAS: Our state funding is summarized in this
19 slide. The base level of funding for the Program remains
20 stable at 1.9 million a year, divided by between the three
21 departments, Department of Public Health, Department of
22 Toxic Substances Control, and OEHHA.

23 The source of funding is the Toxic Substances
24 Control Account, TSCA. And the funding has been
25 maintained for 2009-2010. And we anticipate that it will

1 objectives that we specified in the CDC cooperative
2 agreement: First, to expand laboratory capability and
3 capacity; Secondly, to demonstrate the success of
4 laboratory quality management systems; Third, to apply
5 biomonitoring methods to assess and track exposure trends;
6 Fourth, to assess exposures in a representative group of
7 Californians; and finally, to collaborate with
8 stakeholders and communities. And I'll be going over
9 these objectives.

10 --o0o--

11 DR. DAS: Objectives 1 and 2 really apply to the
12 labs. And Dr. She and Dr. Petreas will be going over
13 these objectives in their presentations.

14 --o0o--

15 DR. DAS: Objective 3 is to apply biomonitoring
16 methods to assess and track exposure trends. And under
17 these objectives there are some updates to the projects
18 that you've heard about before.

19 Our first collaboration under this is with the
20 Environmental Health Tracking Program, as required under
21 the terms of the cooperative agreement. We're
22 collaborating with Tracking on two projects that you've
23 already heard about.

24 In Tulare, we focused on participants of -- well,
25 the Tracking Program focused on participants living near

1 orange groves where chlorpyrifos was sprayed. There were
2 approximately 30 individuals. And the labs analyzed for
3 chlorpyrifos metabolites. The results are not yet
4 available, and we anticipate the results will be returned
5 to the participants later this year.

6 In Imperial county, the Tracking Program
7 identified 31 residents. This was a convenient sample.
8 These were adult residents consuming local produce. And
9 analyzed urine for several chemicals thiocyanate,
10 perchlorate, nitrate, and iodine.

11 These were analyzed by the CDC labs and aren't
12 considered results of the Biomonitoring Program. Split
13 samples were retained by our labs and will be analyzed for
14 QA/QC.

15 And other State labs analyzed perchlorate in food
16 and water samples. The results are to be returned to the
17 participants by the Tracking Program. In the coming year,
18 we hope to explore more collaborations with Tracking.

19 --o0o--

20 DR. DAS: The second collaboration under this
21 objective is with Kaiser. The CYGNET project has been
22 described to you already. But to remind you, this is a
23 study looking at the role of environment, genetic, and
24 other factors following a cohort of 400 girls between the
25 ages of six to eight years of age who receive care at the

1 Kaiser Permanente clinics in Oakland, San Francisco, and
2 San Rafael.

3 Baseline blood and urine was collected in 2006.
4 And about 350 whole blood and urine samples are available
5 and will be analyzed for metals and possibly other
6 chemicals in collaboration with the other centers that are
7 part of this study.

8 Our MOU with Kaiser is complete.

9 --o0o--

10 DR. DAS: At the last meeting, we described to
11 you in detail the Maternal and Infant Exposure -- Maternal
12 and Infant Environmental Exposure Project, or MIEEP, also
13 known as the Chemicals in Our Bodies Project.

14 Just to remind you again, the purpose of this
15 project is to look at -- to measure and compare levels of
16 chemicals in pregnant women and newborn infants. We will
17 be recruiting at San Francisco General Hospital. Our
18 collaborators are UC Berkeley and UCSF. We'll collect
19 biological samples from between 50 to 75 pregnant women,
20 as well as newborn umbilical blood cord samples.

21 And the chemicals will be analyzed in our
22 Biomonitoring California labs. And the results will be
23 returned to participants.

24 --o0o--

25 DR. DAS: This is a slide that you saw last time.

1 It describes the different phases of the project. We will
2 first meet the women in their third trimester at 28 to 34
3 weeks gestation. We will then see them again a few weeks
4 later, when they come in for a routine exam and collect a
5 maternal urine sample. The third encounter will be at
6 delivery, when we'll collect maternal blood and umbilical
7 cord samples. And then finally, they will receive results
8 in two phases, because of the way the results are being
9 analyzed, about a year after they first come to us, and
10 then about two years after, depending on which results
11 we're returning.

12 --o0o--

13 DR. DAS: Progress made since the last meeting is
14 shown here. We have gained IRB approval from both UCSF
15 and the Department of Public Health IRBs. And that was
16 the last step we were waiting for before beginning field
17 testing of the sample -- of the project instruments.
18 Field testing is slated to begin in the next couple of
19 weeks. And we will have a dry run testing the sample
20 collection protocol shipping and all the different
21 procedures in June.

22 And we hope to start collecting specimens and to
23 recruit participants in July -- actually to recruit
24 participants in July and then start collecting specimens
25 when the women deliver. And that we anticipate will

1 continue through December.

2 --o0o--

3 DR. DAS: The Firefighter Occupational Exposure
4 Project is a new project. Last time you did hear about
5 some attempts we had made to collaborate with fire -- with
6 the fire department in Contra Costa County. Actually, at
7 the last meeting, I had just heard that that collaboration
8 was not going to be successful, but Dr. Luderer, at that
9 meeting, suggested that we might want to collaborate with
10 some colleagues at UC Irvine.

11 We initiated those collaborations. And actually
12 that's been very successful and very heartening
13 collaboration. And I'll be describing this project to you
14 in a little bit more detail this afternoon.

15 Just to briefly tell you about that, it's a
16 collaboration with UC Irvine and the Orange County Fire
17 Authority. Like the Maternal Infant study, we'll be
18 collecting blood and urine from these firefighters.

19 In addition, we have an environmental sampling
20 component to this one. And we have -- the other
21 components that are common to both the maternal study and
22 this one are data analysis, report back, and project
23 evaluation.

24 --o0o--

25 DR. DAS: Objective 4 is to assess exposures in a

1 representative group of Californians. And to do this,
2 we're exploring various different options to look at the
3 utility of biospecimen retrieval for chemical analysis.
4 And we're always mindful of the cost to obtain and analyze
5 these samples. And we're looking at appropriate sampling
6 strategies.

7 --o0o--

8 DR. DAS: Some of the collaborations under this
9 objective are with the Kaiser Research Program on Genes,
10 Environment, and Health, or RPGEH. You heard from Dr.
11 Stephen Van Den Eeden at a previous Scientific Guidance
12 Panel meeting. We have had some additional meetings with
13 Dr. Van Den Eeden. And during year two of the cooperative
14 agreement, we hope to move forward on this collaboration.

15 We will be writing a subcontract with Kaiser in
16 September of this year. And our work with them will be --
17 will be two-fold. First, working with our biobank
18 repository, which is a repository of banked samples for
19 Kaiser members over a number of years, as well as a
20 pregnancy cohort, something they're starting this year,
21 collecting samples from pregnant women in northern
22 California.

23 In addition, we've started discussions with the
24 Genetics Disease Screening Program. You heard about this
25 at the last meeting. Our primary efforts in this

1 collaboration will be to look at methodology to analyze
2 infant blood spots. And then following that, we'll be
3 looking at specimen retrieval from the genetic disease
4 screening program.

5 --o0o--

6 DR. DAS: Objective 5 is to collaborate with
7 stakeholders and communities. And to fulfill this
8 objective, we're doing a number different things with
9 Health Research for Action, which is within the UC
10 Berkeley School of Public Health.

11 HRA looked at the Biomonitoring website, which is
12 actually hosted by OEHHA, and provided a number of
13 recommendations to change the website to make it more
14 public friendly, and more negotiable. The review was
15 provided this month, and will be part of the improvement.
16 Actually, the improvement of it will be part of year two,
17 partly funded by the CDC cooperative agreement.

18 In addition, we have a biomonitoring brochure,
19 which HRA is also working on. And that we hope to have
20 available later this year for public dissemination. This
21 is a brochure that describes in lay language what
22 biomonitoring is and can be given to a variety of
23 different members of the public.

24 It will eventually be translated into Spanish and
25 Chinese as will much of our other material.

1 --o0o--

2 DR. DAS: So to summarize, we have taken a number
3 of different efforts to increase the capacity and
4 capability to analyze chemicals in urine and blood,
5 through collaborations through obtaining samples from
6 researchers who have already collected them, as well as
7 collaborations with researchers who are collecting them
8 now, such as Environmental Health Tracking Program,
9 CYGNET, at Kaiser, the maternal-infant study, the
10 firefighter study, which you'll hear about today. And our
11 plan collaborations include Kaiser, and genetic disease
12 screening program.

13 In addition, we have a number of different
14 efforts targeted at results communication and outreach,
15 which will spread the information about this program to a
16 nonscientific audience.

17 --o0o--

18 DR. DAS: I wanted to acknowledge our staff. We
19 have -- just do the next two slides show you the number of
20 different staff that are working on this project. But I
21 do want to let you know that most of these staff are
22 either grant funded through CDC or providing in-kind
23 support.

24 Very few of these are actually funded through the
25 State Biomonitoring Program, but I wanted to give you a

1 sense of the number of different people that are working.
2 On this slide, you see staff from California Department of
3 Public Health, Environmental Health Investigations Branch
4 and the Environmental Health Labs. And here are staff
5 from OEHHA and Department of Toxic Substances Control.

6 And I want to thank all the staff that have
7 provided so much work on this -- moving this program
8 forward, as well as submitting the CDC cooperative
9 agreement renewal and all the other efforts that go into
10 making this program a success.

11 And I'd be happy to take any questions from the
12 Panel.

13 ACTING CHAIRPERSON LUDERER: Thank you very much,
14 Dr. Das. Do any of the Panel members have clarifying
15 questions regarding Dr. Das's proposal?

16 I have one question. You mentioned at the
17 beginning for the Environmental Health Tracking Program
18 collaboration, that the participants would be receiving
19 their results soon during the coming year. I was
20 wondering will those results also be available to the
21 public and what the timeframe for that might be?

22 DR. DAS: The first step in returning -- in
23 making results public is for participants, in any project,
24 to receive them.

25 Since this is primarily a Tracking Program

1 project, we will be following their lead. So they will
2 return results to the public -- I mean, I'm sorry to the
3 participants individually. Once they have made them more
4 publicly available, either in the form of a publication or
5 a report, at that point, we will be then considering them
6 part of the biomonitoring program and making them public
7 as is required under the program but. We will be taking
8 the lead from the Tracking Program researchers.

9 ACTING CHAIRPERSON LUDERER: Do any other Panel
10 members have questions?

11 Dr. Wilson.

12 PANEL MEMBER WILSON: I guess it's a follow-up
13 question, around the -- similarly with the maternal-infant
14 project and the firefighter project to what extent that
15 information will become publicly available?

16 DR. DAS: Yeah. These are both great questions.

17 All the results of the biomonitoring -- the
18 analyses that will be done under the Biomonitoring Program
19 will eventually be made public. That is a requirement of
20 the legislation.

21 Again, similar to the Tracking Program, the
22 results will first be returned to individuals. And then
23 we will be eventually making them public, but, you know,
24 the steps involved before making them public are yet to be
25 negotiated. But, in general, the first individuals will

1 get the results, then we'll be packaging them in a format
2 that deidentifies them and will be made presentable to the
3 public in some format, either through publication,
4 presentation, or a report. So eventually they will be
5 made public.

6 PANEL MEMBER QUINT: This is Julia Quint. I want
7 to congratulate the Program, I think you've done --
8 there's a lot of activity. You obviously are moving
9 forward with a lot of interesting projects.

10 I guess I have two questions, maybe three. One
11 was the status -- I think there was a legislative report
12 that was due, I suspect that's been submitted. And I'm
13 wondering if there has been any discussion of where we
14 are, in terms of these smaller projects and the
15 representative sample, because the legislation clearly --
16 you know, the mandate is to do a representative sample.
17 And we all know that there are -- we're resource limited,
18 and so we are doing these other very wonderful smaller
19 projects.

20 But I'm wondering if there is any discussion of
21 the relationship between these projects and what they will
22 or will not be able to tell us about a representative
23 sample or, you know, what progress we're making toward,
24 you know, educating people about this, still the need to
25 do the representative sample.

1 That's one question.

2 And then the other question is, as we return
3 results to people, to subjects that have been
4 biomonitored, I think question of what the results mean
5 definitely will come up. And I know there is ongoing now
6 interpretation of biomonitoring results. It was mentioned
7 in one of the papers in our binder. And I'm wondering,
8 whether or not, we've been able to make any progress, in
9 terms of, you know, some of the scientific discussion
10 about what the results mean -- how you interpret these
11 results? Because other scientists are busy interpreting
12 the results. So I'll just leave it at those two
13 questions.

14 DR. DAS: Okay. Thank you, Dr. Quint

15 To answer your first question, the legislation
16 does require the assessing of a representative sample of
17 Californians, but also allows for targeted subpopulations.
18 So what we're doing is definitely within the scope of the
19 legislation.

20 And we are -- as you mentioned, we're starting
21 out with these smaller projects, but we're also making
22 efforts to obtain a representative sample of Californians
23 through our collaborations with Kaiser, for example, that
24 would be one way. And I guess that's the main one and
25 through other methods that we'll be exploring.

1 And so while the funding allows us to do the
2 small projects in a shorter timeframe, we are exploring
3 the attempt to obtain a representative sample, and
4 hopefully during year two, and subsequently -- year two of
5 the cooperative funding, and subsequently we will make
6 some progress towards attaining the representative sample.

7 In terms of results return and the interpretation
8 of the results, yes, we are planning to incorporate that
9 into our results return and report back. For the
10 maternal-infant study we're working with UC Berkeley Dr.
11 Rachel Morello-Frosch, who you heard from in an earlier
12 meeting. We'll be working with her to help interpret the
13 results. And so we're not just giving numbers back, but
14 actually interpreting them and expressing their results in
15 a format that's understandable to the participants.

16 And so there will be usability testing of the
17 format of the return material, as well as doing some field
18 testing, in terms of how the results are returned.

19 And that will be starting soon. Part of the
20 field testing we'll look at how results are returned, the
21 format of the results that are returned, and make sure
22 that we're actually packaging materials in a format that's
23 understandable to participants. And so that is built into
24 the different phases of the project, starting off with the
25 maternal-infant study.

1 And Tracking has done that to a certain extent as
2 well, in their results return. They're testing the
3 understandability of the results as they're returned to
4 package the materials in a way that makes sense to the
5 participants and isn't just purely scientific.

6 Does that answer your question?

7 PANEL MEMBER QUINT: Thank you. That does answer
8 it in part. But in terms of the interpretation of the
9 results, I was actually thinking more of the scientific.
10 I understand the lay interpretation, and I, you know,
11 trust that Dr. Morello-Frosch and the group at UC Berkeley
12 will do an excellent job of assisting us with that. But
13 there is an ongoing sort of effort to interpret -- a
14 scientific interpretation of what biomonitoring results
15 mean or don't mean, and so that is what I'm really
16 concerned about also, as well.

17 DR. DAS: Yes, so --

18 PANEL MEMBER QUINT: So my question was really
19 directed toward that particular aspect.

20 MS. HOOVER: Yes. Rupa, do you want me to make a
21 comment?

22 DR. DAS: Sure.

23 MS. HOOVER: So. Yeah, we're working on that
24 project ongoing. And we're hoping -- we're just starting
25 off with sort of surveying what's out there, and what

1 information is already available and how people have been
2 interpreting the results.

3 So we're in progress on that right now. And what
4 we're hoping to do is have a more substantive discussion
5 with the Panel at the fall meeting.

6 PANEL MEMBER QUINT: Thank you. That's
7 wonderful.

8 DR. McNEEL: Identify.

9 MS. HOOVER: Sara Hoover, Chief of the Safer
10 Alternatives Assessment and Biomonitoring Section of
11 OEHHA. Sorry about that

12 MS. LEE: Hi. This is Diana Lee with the
13 California Department of Public Health. And I just want
14 to add that much of the work that we're doing with the
15 pilot projects and so on help to establish procedures and
16 protocols that we ultimately hope to be using in more
17 representative samples.

18 So much of the data collection instruments, so
19 much of the protocols with respect to the field collection
20 of specimens, the transference to the labs et cetera, will
21 all apply ultimately to a larger representative sample as
22 well. So we see them as kind of setting the ground work
23 for much of the representative samples.

24 ACTING CHAIRPERSON LUDERER: Are there any other
25 questions from Panel members at this time?

1 Then why don't we, at this point, move on to the
2 public comments.

3 Do we have any?

4 MS. DUNN: We have one public comment.

5 ACTING CHAIRPERSON LUDERER: We have one public
6 comment.

7 MR. BALTZ: Davis Baltz with Commonweal, and nice
8 to be with you again. We've tracked your work since the
9 Program's inception, and just wanted to acknowledge the
10 continuing progress that the Program has made under Dr.
11 Das's leadership, in a, as Dr. Quint said, a
12 resource-limited environment, to say the least. The
13 Program has been entrepreneurial in finding new funding,
14 and hiring new staff and starting to plan for projects
15 that will actually collect data that will be useful to
16 Californians.

17 I'm particularly interested that you have chosen
18 to explore cohorts with pregnant women and their babies,
19 and this new project with the firefighters. I'm
20 interested to hear about it this afternoon. And as these
21 opportunities continue to present themselves, the
22 occupational cohorts I think would certainly be of
23 interest to the public interest community, because of the
24 potential harmful exposures to people who work with
25 chemicals.

1 So congratulations to the Program for the
2 continuing work that you're doing. And I'll look forward
3 to hearing more over the course of the day.

4 Thank you.

5 ACTING CHAIRPERSON LUDERER: All right. Thank
6 you very much. Are there no additional public comments?

7 Great.

8 So then we now will move on to additional Panel
9 discussions and Panel recommendations. Do any Panel
10 members have comments or questions?

11 Dr. Bradman?

12 PANEL MEMBER BRADMAN: No.

13 ACTING CHAIRPERSON LUDERER: Dr. Quint?

14 There are no questions or comments from the Panel
15 members?

16 Shall we move on to the presentations from the
17 laboratories then?

18 (Thereupon an overhead presentation was
19 Presented as follows.)

20 DR. DAS: I'd like to -- this is Dr. Das from the
21 Department of Public Health. I'd like to introduce our
22 two speakers that will be coming up here next. Dr.
23 Jianwen She is Chief of the Biomonitoring Section of the
24 Environmental Health Laboratory Branch in the Department
25 of Public Health. And he'll be followed by Dr. Myrto

1 Petreas from the Environmental Chemistry Lab in the
2 Department of Toxic Substances Control.

3 So, Dr. She.

4 DR. SHE: Thank you, Dr. Das, for the
5 introduction.

6 Good morning, Panel members and everyone. I'm
7 glad to have this opportunity to update you on what EHLB
8 has done since our last meeting.

9 --o0o--

10 DR. SHE: My update will include the new staff,
11 laboratory set up and instrument, new method development,
12 method performance, and year two activities.

13 --o0o--

14 DR. SHE: Scientists are the most important
15 resource for the program. Since our last meeting, we
16 recruited two more scientists to the Program. Let's
17 welcome Dr. Xia and Dr. Wang.

18 (Applause.)

19 DR. SHE: Dr. Xia has a lot of experience in
20 using advanced instrument. And in the short amount of
21 time he has been with us, he has made an important
22 contribution to the development of our PAH method.

23 Today is, in fact, Dr. Wang's first day with us.

24 (Laughter.)

25 DR. SHE: And he brings a lot of biomonitoring

1 experience with him from Duke University.

2 We also expect to add Laboratory Information
3 Management Specialist by July.

4 --o0o--

5 DR. SHE: We have a few functional lab already.
6 In addition to the current lab space, we also expect to
7 add two more rooms to our laboratory space. They are
8 especially welcome since we are adding new instruments
9 with CDC grant.

10 --o0o--

11 DR. SHE: Here is a list of the new instruments
12 we order or plan to order. We own two LC-MS/MS. We
13 ordered one GC-MS/MS.

14 The two LC-MS/MS will be used for the OP specific
15 metabolites and the pyrethroid metabolites. LC-MS/MS will
16 be used for environmental phenols. And the GC-MS/MS we
17 have for the common metabolite dialkyl phosphate.

18 The other two instruments we plan to order is
19 IC-MS/MS for perchlorate, and also we try to order some
20 equipment for the laboratory automation. The equipment
21 ordered according to the priority chemicals the Panel
22 recommended to us.

23 --o0o--

24 DR. SHE: SGP established the priority chemicals
25 for the lab to work to develop methods. Let's discuss

1 repeatability is high.

2 --o0o--

3 DR. SHE: Let's also look at the method accuracy.
4 We compare to the CDC monitored. We call it LAMP, which
5 means Lead And Multiple Metals Proficiency Test Program.
6 To compare with the CDC, we have very good linearity. And
7 so the method have a very small bias, you can see from the
8 slopes.

9 For both mercury and the lead -- and the cadmium.
10 I showed the performance for the lead in last
11 presentations.

12 --o0o--

13 DR. SHE: This leaves just two examples to show
14 the method of performance.

15 In the year two, the laboratory plan to expand
16 the method to cover more analytes, which are recommended
17 by the SGP. For example, for PAH, right now we have only
18 one analyte, but SGP gave us three in the priority
19 chemical list.

20 And for phthalate, we only have two. Right now
21 we work out the method, but SGP also give us more than two
22 to work on it. OP's the same.

23 We also tried to finish the method that we are
24 currently in progress. For example, metals in urine,
25 arsenic speciation, bisphenol A. And bisphenol A and

1 triclosan is SGP-recommended chemicals. So we will work
2 on bisphenol A and triclosan together.

3 At the same time, we plan to increase laboratory
4 capacity to improve the throughput, which means we will
5 work on laboratory procedure automation, and also we will
6 participate in more PT program to make sure our quality of
7 the data is high.

8 --o0o--

9 DR. SHE: What you have seen and what we see are
10 the works from this group of scientists. Personally, I
11 want to thank them.

12 Thanks for your attention.

13 ACTING CHAIRPERSON LUDERER: And I think next on
14 the schedule was Dr. Petreas, but we may have time for a
15 few questions now from the Panel, if there are any, for
16 Dr. She?

17 Dr. Culver.

18 PANEL MEMBER CULVER: My question.

19 MR. LLOYD: Sir, could you speak more directly
20 into the mic.

21 PANEL MEMBER CULVER: Is that better?

22 MR. LLOYD: Yes.

23 PANEL MEMBER CULVER: My question grows out of
24 need for my education perhaps. I have the impression that
25 the equipment that you're buying or expect to be receiving

1 soon is rather substance specific or specific to certain
2 families of compounds. Are you also trying to increase
3 your capability to analyze more generically compounds that
4 don't necessarily fit into those specific families?

5 DR. SHE: For example, more generically, means --
6 sorry about that. More generic chemicals --

7 PANEL MEMBER CULVER: Yes, more flexible
8 analytical capability.

9 DR. SHE: Actually, I link each instrument to a
10 specific group of chemicals. But on other hand, this
11 instrument they are generic enough to be used for some
12 other chemicals, maybe the SGP recommended in the future.
13 For example, LC-MS/MS can work on a group of chemicals,
14 have the life impact. Also, GC-MS can work on the less
15 polar -- low polar compound. So these are two group of
16 instruments that are supposed to cover a lot of chemicals.

17 ACTING CHAIRPERSON LUDERER: Dr. Solomon.

18 PANEL MEMBER SOLOMON: Thanks. That's great to
19 see so much progress, even since the last meeting.

20 I notice that manganese was listed as one of the
21 metals. And CDC isn't even doing manganese yet. I'm
22 excited to see it there, but I was hoping to hear a little
23 bit more about how that's going and what challenges you
24 might have encountered, because that's a difficult one, I
25 think, to measure?

1 DR. SHE: I wish Dr. Frank Barley be here and can
2 be given more specific answer on that one. He's the one
3 who's in charge of inorganic. So I didn't hear so much
4 from him about what challenges he encountered during the
5 process. But I will follow up with that to see what does
6 he really go through and what difficulty he has.

7 PANEL MEMBER SOLOMON: One more. The GC-MS/MS
8 for the OP DAP metabolites, is that instrument really just
9 going to be focused on measuring those DAPs? Because I
10 know that when we talked about this in the Panel, there
11 was some concern about those not being specific enough to
12 be as helpful as we might like. And I'm hoping that if
13 we're buying a whole instrument that it will be useful for
14 other things as well, because that seems like probably a
15 big expense just for those specific metabolites.

16 DR. SHE: Actually, I think you get very good
17 questions. That instrument, while we are talking here,
18 CDC also suggests that we return or switch a different
19 instrument, because used one is DAP, not specific enough.
20 At the same time, CDC also change their methods. So a lot
21 of the recommendation which machine we are buying, we talk
22 with the program office in CDC. Recently, he recommended
23 can you switch? Do not open the box. Switch back with
24 the vendor. So I work with Agilent to work on that part.
25 And so that machine may never be set up. And then we will

1 go to buy another LC-MS/MS.

2 So that's a very good question and good comment.

3 ACTING CHAIRPERSON LUDERER: Dr. Bradman.

4 PANEL MEMBER BRADMAN: Yeah. But also to
5 reiterate Dr. She's point early that these instruments are
6 flexible across many different kinds of compounds. So if
7 it can do certain metabolites, there's also many other
8 types of chemicals you can measure outside pesticide
9 classes or otherwise.

10 DR. SHE: Yeah. If we keep it and if we have
11 difficulty to return, for example, volatile chemicals in
12 the future, if the Program tried to do it, that machine
13 can be used.

14 ACTING CHAIRPERSON LUDERER: Dr. Wilson.

15 PANEL MEMBER WILSON: Yeah. Mike Wilson.

16 Thank you very much for your presentation, Dr.
17 She. And I'm wondering if you could put the method
18 precision slide back up. I just had a couple of questions
19 about that.

20 So that is -- is that showing us the coefficient
21 of variation, the standard deviation as a percentage of
22 the mean? So are those -- for example, on the top
23 graphic, are those 21 runs of the same standard, drawn
24 from the same standard?

25 DR. SHE: (Nods head.)

1 PANEL MEMBER WILSON: Okay. And so you're
2 basically seeing five percent.

3 DR. SHE: (Nods head.)

4 PANEL MEMBER WILSON: So that's instrumental
5 variability essentially, is it?

6 DR. SHE: Actually, this top one we call the
7 quality control low. We measure the CV, like you
8 mentioned. And then actually this is a standard added to
9 the solvent. And this solvent we go through the sample
10 preparation procedure each day, because we cannot measure
11 them directly. The hydroxy-PAH cannot be measured in the
12 GC directly, involved the derivatization deconjugations of
13 the steps. So this is the measurement of the precision of
14 the laboratory procedure, plus incident of instrument
15 precisions.

16 PANEL MEMBER WILSON: I see, so it's your whole
17 process --

18 DR. SHE: Whole process, yes.

19 PANEL MEMBER WILSON: -- from start to finish?

20 DR. SHE: Yes.

21 PANEL MEMBER WILSON: And so over -- to run 21
22 days -- or, I'm sorry, 21 runs takes -- how long does that
23 take?

24 DR. SHE: Bob, are you here? Can you comment on
25 that.

1 DR. RAMAGE: Yes. My name is Bob Ramage from
2 EHLB.

3 It took over a month. I mean -- and we were
4 running every day. And a few days we ran twice, but
5 separate sample preps and separate sets of reagents.

6 PANEL MEMBER WILSON: Right, okay. Thank you.

7 And so it's really plus or minus two and a half
8 percent, is that on either side of the mean is what we
9 would -- is sort of -- on the top one there, or is it plus
10 or minus five percent on either side, so a total of 10?

11 I'm sorry, if I'm -- I'm just --

12 DR. SHE: Let me see the math.

13 PANEL MEMBER WILSON: Yeah, I guess I'm --

14 DR. SHE: This is RSD standard deviation divided
15 by the mean.

16 PANEL MEMBER WILSON: The mean, right, okay.

17 DR. SHE: I think that's plus or minus five
18 percent is that about right?

19 DR. RAMAGE: Yes.

20 DR. SHE: Yea, plus minus each side.

21 PANEL MEMBER WILSON: Right. That's great.

22 And do we have a -- do we know how that, at this
23 point, compares with what CDC is doing, in terms of their
24 coefficient of variation of the method?

25 DR. SHE: For the method, it depends on which

1 one. If you have high levels, it's easier for lab to
2 measure it. And then we expect to have lower values.

3 For the very low levels, the variation is big.
4 So I think CDC accept 15 percent. Yeah, from extreme
5 lows, sometimes people go to 20 percent.

6 PANEL MEMBER WILSON: And then on one -- on the
7 next slide is your accuracy slide, that's measuring known
8 standards?

9 DR. SHE: This is actually a PT Program result.
10 So CDC administrated this program. They sent us the
11 samples. We do not know the result. We measure it and
12 then later on they evaluate it to compare what we have.
13 So that's real blood samples that they already did the
14 Round-Robin test. They load the values they provided us
15 to, and then we test and then compare. So this regression
16 curve compares our value with their values.

17 PANEL MEMBER WILSON: Right.

18 DR. SHE: It also goes through the procedure we
19 are doing.

20 PANEL MEMBER WILSON: That's great. That's very
21 impressive.

22 Thank you.

23 DR. SHE: Thank you.

24 ACTING CHAIRPERSON LUDERER: I have a follow-up
25 question to that. So the accuracy and precision data that

1 you showed us for these particular chemicals are very
2 impressive. These are looking really great. The other
3 chemicals that you already have the methods developed for,
4 are you getting equivalent precision and accuracies for
5 those as well?

6 (Laughter.)

7 DR. SHE: Some of them are very good. For the
8 ones we totally validated, they're all very good. So
9 comparable.

10 But I think the 3-Phen is extremely well, is less
11 than five percent. The other ones, I mean, are good,
12 according to CDC's requirement below 15 percent. Two
13 phthalate, for example, is not validated. We have bigger
14 troubles right now, and we are still working on it..

15 The major reason we identified it is because the
16 standard -- the vendor-provided standard is wrong. And we
17 work with them, and then we cannot meet -- the standard is
18 not stable enough. They put in different solvent. Right
19 now we will ask them to try different solvent.

20 So short answer to your question, the one we
21 called validated are very good. The one we do not have a
22 good one, we even did not put it on the list. We are
23 still working on it.

24 ACTING CHAIRPERSON LUDERER: Any other questions
25 from Panel members at this time?

1 Okay. We can move on to the next presentation.

2 Dr. Das, were you going to introduce Dr. Petreas?

3 (Thereupon an overhead presentation was
4 Presented as follows.)

5 DR. DAS: Yes, I'd like to introduce Dr. Myrto
6 Petreas from the Environmental Chemistry Lab in the
7 Department of Toxic Substances Control. She will be
8 talking to you about the DTSC laboratory update.

9 DR. PETREAS: Good morning. So as you know, we
10 were not part of the CDC cooperative agreement in the
11 first year. So I have no staff to introduce to you, and I
12 have no Instruments to talk about.

13 (Laughter.)

14 DR. PETREAS: Hopefully, with part of the second
15 year application, hopefully we'll hear good news or we'll
16 know something later.

17 So with the existing staff, we have two staff
18 funded originally and the existing equipment, that would
19 be my update.

20 In addition, as you know, DTSC has other projects
21 where other staff work on, but because of the overlap,
22 this Program gets some benefits from these other
23 activities.

24 And I want to address Dr. Culver's comment before
25 and question, that in terms of what equipment we're going

1 to get eventually. We plan to have an instrument that
2 will look at unknowns down the road. So once we get part
3 of the CDC cooperative agreement, we will get the TOF
4 instrument, Time of Flight, which is good for identifying
5 unknowns, because between now and then who knows what will
6 be the priority. So we have to be prepared for that.
7 That's down there the road.

8 --o0o--

9 DR. PETREAS: So with our current resources, we
10 are charged to look at PBDEs, polybrominated diphenyl
11 ethers, flame retardants, and perfluorinated chemicals,
12 PFCs. And the progress so far is that both methods are
13 operational. With PBDEs, we have completely transitioned
14 into the CDC methodology. We adapted what we used to do
15 to incorporate CDC's methods. And we use this technique
16 to measure PBDEs in several blood samples from studies we
17 conduct.

18 With the perfluorinated chemicals, the last time
19 we met, we were in the middle of validation. And we had
20 some glitches with some of the compounds. Since then, we
21 collaborated with the staff from New York Department of
22 Public Health and Minnesota Department of Public Health.
23 And we have changed standards and samples. We found an
24 error in our standard, took corrective action, and now
25 we're fully validated. And our stand operating procedure

1 draft is in use and we are in review to finalize it.

2 --o0o--

3 DR. PETREAS: Talking about perfluorinated
4 chemicals, just to remind you, basically there are three
5 main classes. The PFOS, which are the sulfonates, the
6 acid, the second one, and the sulfonamide. And the O in
7 this case means octa, referring to eight carbon molecules,
8 but this could be anything from tetra to dodeca, so we
9 have in -- we'll talk about those.

10 So these are the three subclasses that we are
11 looking at. And we're driven again by the SGP
12 recommendations and what NHANES does. And I realize the
13 next slide was not printed. Hopefully -- yeah, it is
14 shown here.

15 --o0o--

16 DR. PETREAS: What I'm showing here is the PFCs
17 that we will be looking at in human serum. The far right
18 columns are the NHANES results showing the medians from
19 two rounds of sampling in 1999 and 2000, and then '03-'04.

20 So just if you look at those, CDC added some
21 compounds, but at the same time levels have dropped. So
22 there's an overall decline in most of the PFOS -- PFCs
23 that CDC has been monitoring. So that's the milieu for
24 where we're coming in.

25 And the first column giving the full name of all

1 the compounds. There are 12 that we are looking at. The
2 first segment are the PFOA type of -- the most common one
3 is the PFOA, the second line. The second group is the
4 sulfonates, so PFOS. The last one in this group is a
5 major one. And then PFOSA the first one of the
6 sulfonamides are the most prominent ones that people talk
7 about. So these are the levels that CDC had measured.

8 --o0o--

9 DR. PETREAS: Going here in our method
10 validation, following what Dr. She presented, these are
11 the in-house quality control samples. These again are
12 samples that we -- in bovine serum that we prepare every
13 time. It's our control. And we are -- these are 32
14 times -- 32 batches. And everyone takes -- through the
15 whole procedure. It's not just a standard, but it gets
16 extracted and all the steps, all the way to the
17 instrument.

18 And the dashed line is the true value. And the
19 little breakpoints are where we were every time we run it
20 over these 32 batches, which is several months of work.

21 And so the dashed line here is the QC value. The
22 dark one -- oh, good.

23 So we have two levels, what we call the medium
24 level -- I will not point to anything.

25 (Laughter.)

1 DR. PETREAS: Anyway, the top point -- the top
2 group is what we call the -- we have three levels. I'm
3 showing only the low and the medium, because this is what
4 applies to human serum. We have the high level for biota,
5 but it's not applicable here. So in both cases, we're
6 happy. That's the bottom line. I mean, we are -- we had
7 some ups and downs, but especially the last few parts of
8 the -- few months, we're on target. These is for PFOS.
9 Another thing we did, and we have in-house controls for
10 every analyte that we do out of the 12.

11 Of the 12 that we embarked to do, two of which we
12 have -- with two we have problems. So with 10 we're
13 happy. Here I'm showing you that when we did the
14 comparison with the CDC, they sent us unknown blood, and
15 we had to analyze that.

16 And here, you can see the box plot shows our
17 spread of responses over time. And the dash next to it in
18 the same color is the true value from CDC.

19 --o0o--

20 DR. PETREAS: We have some problems with the
21 fourth -- not the green one, if you can see. The fourth
22 point. We know we're off. And I can't -- okay, the blue
23 one here -- no. I'm sorry. I know there's a problem with
24 one of them too. But nevertheless, both of these are not
25 detected in NHANES. So it's not a high priority. We're

1 is PFOS an very similar PFOA, the two major compounds were
2 in the ballpark where NHANES is.

3 Now, as part of another study we do -- we have,
4 we analyzed these chemicals in cats, cat serum. We're
5 doing a study with veterinarians to study persistent
6 organic chemicals in indoor environments. And cats are
7 good sentinels to show what can be absorbed and would --
8 anyway, we're measuring also the house dust and trying to
9 get some ideas for exposure assessments.

10 So the next slide is for cats. And I guess we
11 measure them in cats. Now, we have to contrast with
12 NHANES, which doesn't make much sense.

13 (Laughter.)

14 DR. PETREAS: But that's the only -- there's no
15 NHANES for cats. But, you know, comparing to humans, cats
16 are very similar to humans and that's my message here,
17 both for PFOS and PFOA in cats.

18 --o0o--

19 DR. PETREAS: And what I said in the next slide,
20 here these are the two major PFOS in blue and PFOA in
21 purple, PFCs in both the human serum and the cat serum,
22 and it's very, very similar, which is very interesting.

23 But now remember, these are in nanograms per
24 milliliter. We don't adjust for lipids here. And
25 contrasting with the next slide, where I'm going to

1 project the same bars. And I will add the PBDEs in the
2 same humans and cats, and you can see how different they
3 are.

4 --o0o--

5 DR. PETREAS: This is dwarfed down here, is what
6 we had for PFOA and PFOS in human and cats, very similar
7 levels. But when you look at the PBDEs, which is another
8 target we want to do, humans are high for us, but much,
9 much lower than cats.

10 So cats are extremely high, have extremely high
11 PBDE levels. We knew that from results from a previous
12 paper on cats alone that they have high levels.
13 California, 20 cats, are more than double than the North
14 Carolina cats. So again we're higher here. But I think
15 it's very interesting to contrast, in terms of thinking
16 about what exposure pathways there are.

17 Cats do something that humans don't do, so
18 there's more contact with dust, or they lick themselves,
19 or what's something they do and they get more PBDEs, but
20 not PFCs. So PFCs may be in some more common exposure
21 pathway, diet, and not so much the dust or the indoor
22 environment.

23 So this is rather exciting information. As we
24 speak today in Utah, is the American Society for
25 Spectrometry conference. And these data are being

1 presented by Dr. Wang, one of our two funded staff.

2 --o0o--

3 DR. PETREAS: So in summary, we have validated
4 methods. And we analyzed 17 human serum samples collected
5 in 2008-2009. And they fall within the expected NHANES
6 ranges. We also analyzed cat serum, and we find very
7 similar levels of PFCs in humans and cats, but very
8 different levels of PBDEs in humans and cats. So that's
9 what's happened.

10 --o0o--

11 DR. PETREAS: Now, in the future, we hope to be
12 part of the CDC cooperative agreement. And our plan is to
13 get an LC-MS that would allow us to go into the brominated
14 flame retardants, the alternative flame retardants, that
15 need to be analyzed by LC-MS, for example HBCD and
16 Tetrabromobisphenol A among others. So this is our target
17 for the next six months or so.

18 And that concludes my presentation.

19 ACTING CHAIRPERSON LUDERER: Thank you, Dr.
20 Petreas. Do any of the Panel members have questions?

21 Dr. Wilson.

22 PANEL MEMBER WILSON: Mike Wilson.

23 I've, you know, raised the issue about precision
24 and accuracy. And, of course, the environmental
25 variability inter-personal and intra-personal is often,

1 you know, orders of magnitude different from one to the
2 next.

3 And so I'm not worried about one nanogram
4 difference in the analytical methods. As you know, you
5 showed your comparison with the CDC blanks. And it sounds
6 like you're not worried about it either, when you looked
7 at the -- it was the fourth one over. There was -- you
8 were -- on your bar graph. Right, exactly.

9 You commented on that. And again, I mean, it
10 doesn't -- that doesn't worry me. Does it worry you?

11 (Laughter.)

12 DR. PETREAS: No. Well, it's a combination.
13 It's not only the unknown sample that you -- blind samples
14 that we do, not only with CDC but with the other -- we
15 have this agreement with, I guess, a collaboration with
16 Dr. Cannon from New York who is the foremost authority on
17 PFOS, other colleagues from Sweden and Minnesota
18 departments. So we have exchanged samples, and we plan to
19 continue doing that, just to be on -- but internally, I
20 mean, it's important to have these, because this alerts
21 you, there's a decline, there's a trend. So that's
22 what -- every time, we run any sample, we run these
23 controls. So that's something that keeps us to be
24 consistent and on target.

25 PANEL MEMBER WILSON: Yeah, I mean this looks

1 really good to me.

2 DR. PETREAS: Yeah, we're happy. That's we can
3 use them now and produce data.

4 PANEL MEMBER WILSON: Good. Excellent. Thank
5 you.

6 ACTING CHAIRPERSON LUDERER: Dr. Solomon.

7 PANEL MEMBER SOLOMON: Right at the beginning of
8 your presentation, you mentioned the instrument that
9 you're hoping to get some day to look at unknowns. Can
10 you tell us a little bit more about that? It sounds very
11 interesting.

12 DR. PETREAS: When we put -- the first time the
13 announcement -- the RFP came from the CDC was a five-year
14 plan. And internally we thought what we need, in terms of
15 being within budget and within target of what we need to
16 be doing. And the TOF is An instrument that we thought
17 that we would be getting later on.

18 I mean, this allows you -- it's mostly a research
19 instrument to try to identify unknowns. It's not so much
20 a production instrument. And there's a lot -- very, very
21 rapid evolution of technology. So by waiting a year or
22 two will be better to get the best instrument and use it
23 more appropriately, because we anticipate there will be
24 more classes coming and more things, which may not be
25 exactly or optimally done with these instruments.

1 ACTING CHAIRPERSON LUDERER: Dr. Quint.

2 PANEL MEMBER QUINT: Thank you for that really
3 nice update. This is Julia Quint.

4 I'm wondering if you're actually measuring any of
5 the -- doing any measurements in the samples from some of
6 the studies that, Rupa, some of the shorter studies that
7 were described by Rupa.

8 DR. PETREAS: Yeah. We will be working with the
9 maternal and infant --

10 PANEL MEMBER QUINT: The MIEEP.

11 DR. PETREAS: Yeah, so we'll be doing maternal
12 blood and cord blood from that. And we'll be doing the
13 firefighters.

14 PANEL MEMBER QUINT: The FOX study.

15 DR. PETREAS: The FOX study, yeah.

16 PANEL MEMBER QUINT: And what will you be
17 measuring, PBDEs and --

18 DR. PETREAS: PBDEs, PCBs, pesticides in blood
19 and also in dust from the firefighters. Perfluorinated,
20 yes.

21 PANEL MEMBER QUINT: All right. Great, thanks.

22 ACTING CHAIRPERSON LUDERER: If there are no
23 additional questions from the Panel at this time, we can
24 move to having our public comments. Are there any public
25 comments?

1 MS. DUNN: There are none.

2 ACTING CHAIRPERSON LUDERER: There are no public
3 comments on these presentations. Do any of the Panel
4 members have any additional questions or discussion?

5 All right.

6 At this time, we are running a bit ahead of
7 schedule. Our next scheduled item is a break. Shall we
8 just take that, at this time, a little bit early.

9 Before we leave for break, I'd like to ask Carol
10 Monahan-Cummings to give us all a reminder about the
11 Bagley-Keene Act.

12 OEHHA CHIEF COUNSEL MONAHAN-CUMMINGS: Good
13 morning, Carol Monahan-Cummings, Chief Counsel for OEHHA,
14 and counsel for this group, and I think you already did
15 it.

16 You've just got to remember that this is a public
17 entity and that discussion of items that are on the agenda
18 should be done as a group in front of the public. And so
19 if you can avoid discussions among yourselves or with
20 other individuals when you're on breaks or lunch that's
21 appreciated.

22 If for some reason you do have a discussion with
23 someone, it would be useful to just disclose that when you
24 come back, and say, you know, I talked to so in so about
25 it, and here's the gist of our conversation, so the public

1 is aware of the discussion.

2 Any questions on that?

3 ACTING CHAIRPERSON LUDERER: Thank you.

4 Okay, we'll take a 15 minute break, so if we can
5 reconvene at 11:30.

6 (Thereupon a recess was taken.)

7 ACTING CHAIRPERSON LUDERER: It looks like all
8 our Panel members are here, so I'd like to get started
9 again.

10 I'd like to welcome everyone back from the break,
11 and thank all of our speakers from this morning for the
12 excellent presentations and for updating us on all the
13 progress that has been made by the labs and the Program.

14 The next item is going to be a discussion of a
15 potential designated chemical, triclocarban. And the item
16 is going to start with a brief update on chemical
17 selection, which is going to be given by Sara Hoover,
18 Chief of the Safer Alternatives Assessment and
19 Biomonitoring Section of OEHHA.

20 (Thereupon an overhead presentation was
21 Presented as follows.)

22 MS. HOOVER: Thank you, Dr. Luderer. Hi again.
23 I'm back to just give you a very brief update to provide
24 context for the couple of chemical selection items that
25 we're going to be talking about today.

1 --o0o--

2 MS. HOOVER: So first I just want to briefly
3 remind the Panel and the audience about the kinds of
4 things we're still working in chemical selection. We're
5 still going through the top 100 pesticides from the
6 California Pesticide Use Report, and some other pesticides
7 of interest.

8 We're also considering classes that are not fully
9 designated on the designated list. And what I mean by
10 this, is there's some cases where chemicals have been put
11 on the designated list based on CDC studies. And in that
12 case, just the subset of chemicals monitored by CDC are
13 placed on the list.

14 So we've talked with the Panel about considering
15 some of these as classes, such as perfluorinated
16 compounds, PAHs might be another example. We're also
17 continuing to keep track of and work through other
18 suggestions that have been made by the panel, as well as
19 State staff and the public.

20 And we're also keeping an eye out for emerging
21 chemicals as recommended by the Panel.

22 --o0o--

23 MS. HOOVER: In terms of the two -- well, the one
24 designated and the potential priority chemicals that we're
25 looking at today, triclocarban was originally suggested to

1 us in the state scientist and public surveys. And I
2 should mention that these two things I'm talking about,
3 both of them had reports on them. And they're available
4 on our website if you're interested in looking at those in
5 detail.

6 The Breast Cancer Fund also prepared a summary of
7 triclocarban and brought it to our attention again at the
8 December 2008 SGP meeting.

9 --o0o--

10 MS. HOOVER: In terms of the parabens, those were
11 also suggested in the State scientist and the public
12 surveys. They were just recently designated based on a
13 CDC study, and they're included in the Maternal and Infant
14 Environmental Exposure Project.

15 --o0o--

16 MS. HOOVER: So just as a prelude to Gail's talk,
17 I'm going to remind the Panel about the criteria for
18 designated and priority chemicals.

19 --o0o--

20 MS. HOOVER: So again, designated chemicals
21 represent those chemicals both that are being biomonitored
22 by CDC, as well as chemicals that the Panel may recommend
23 adding to the designated list. And the law that
24 established the program, lays out some criteria for the
25 panel recommending additional designated chemicals and

1 these are shown here:

2 Exposure or potential exposure to the public or
3 specific subgroups; the known or suspected health effects
4 based on peer-reviewed scientific studies; the need to
5 assess the efficacy of public health actions to reduce
6 exposure; the availability of a biomonitoring analytical
7 method; the availability of adequate biospecimen samples;
8 and the incremental analytical cost.

9 And just to remind the Panel, these criteria are
10 not joined by ands.

11 --o0o--

12 MS. HOOVER: And later today, we'll be talking
13 about some potential priority chemicals. And this is just
14 a reminder, which Gail will reiterate later, about the
15 criteria for recommending priority chemicals.

16 So the Panel can consider the degree of potential
17 exposure to the public or specific subgroups; the
18 likelihood of a chemical being a carcinogen or a toxicant;
19 the limits of laboratory detection for the chemical; and
20 other criteria that the Panel may agree to.

21 And again these criteria are not joined by ands.
22 And the Panel is not required to name additional criteria.

23 So that's just a very brief intro, to give you
24 context for the chemical selection items today.

25 And now, I'd like to introduce Dr. Gail Krowech

1 who's a staff toxicologist with OEHHA. She'll be
2 presenting on triclocarban.

3 (Thereupon an overhead presentation was
4 Presented as follows.)

5 DR. KROWECH: Hi. This first slide shows the
6 structure of triclocarban or TCC.

7 --o0o--

8 DR. KROWECH: TCC is a widely used antibacterial
9 agent, mostly in deodorant soap bars, but also can be used
10 in liquid soaps and body washes. Production/import volume
11 was reported as one to ten million pounds in the 2002. A
12 2005 report had listed less than 500,000 pounds. And no
13 other recent information is available on that.

14 The primary human exposure is via personal care
15 products. A study conducted a decade ago found that TCC
16 was in 84 percent of deodorant bar soaps sold in the U.S.

17 --o0o--

18 DR. KROWECH: There are an increasing number of
19 studies which have shown incomplete removal of TCC by
20 wastewater treatment processes. It's been found both in
21 surface waters and in sewage sludge. A recent study
22 looking at 25 wastewater treatment facilities across 18
23 states found that triclocarban was in 100 percent of
24 influent and 100 percent of the effluent from these
25 plants.

1 But as I said, most is sequestered in sewage
2 sludge. There is some degradation, mostly under aerobic
3 conditions. And the levels of TCC that are in the
4 remaining biosolids are in the parts per million range.
5 Some reports have, I've seen, are about 20 parts per
6 million and can be somewhat higher depending on the type
7 of degradation used.

8 About 50 percent of biosolids in California and
9 nationwide are applied to land as crop fertilizer. So
10 there's concern about possible uptake of TCC. And, to
11 date, OEHHA has not found any published literature that
12 has looked at this.

13 --o0o--

14 DR. KROWECH: In terms of known or suspected
15 health effects, there are very few toxicology studies on
16 TCC. There are a few studies that are relevant to
17 endocrine disruption. And these studies indicate that TCC
18 amplifies the action of sex steroid hormones. And in
19 vitro studies in recombinant cells TCC enhanced the
20 actions of estrogen and testosterone, while not having --
21 or having little or no agonist activity itself.

22 In another study, in an in vivo study in rats,
23 rats were given TCC in diet for 10 days. And they were
24 first given testosterone in the diet, and then TCC. And
25 then TCC plus testosterone. It was found that TCC

1 amplified the effects of testosterone. And so the effects
2 were greater than with testosterone alone or TCC alone. A
3 very recent study showed that TCC was estrogenic in -- had
4 estrogenic effects in freshwater mudsnails, increasing
5 embryo production.

6 There's also concern about the possible presence
7 of chloroanilines, and p-chloroaniline and
8 3,4-dichloroaniline are used in the synthesis of TCC.
9 It's also been reported that they can be formed during the
10 manufacture of soap. And there was one study in the
11 seventies that showed that during aerobic degradation,
12 these two were actually formed during the degradation
13 process of sludge.

14 p-Chloroaniline is a Proposition 65 carcinogen.
15 And the similar compound 3,4-dichloroaniline has not been
16 well studied.

17 --o0o--

18 DR. KROWECH: This slide is a slide that's
19 actually from the TCC document and it has the physical
20 chemical properties.

21 --o0o--

22 DR. KROWECH: In terms of the persistence and
23 bioaccumulation, the half-life of TCC was measured between
24 87 and 231 days in soil, depending on the type of soil and
25 whether it was amended by biosolids -- amended with

1 biosolids.

2 And in terms of the bioaccumulation, there are a
3 few studies in aquatic organisms. A low bioconcentration
4 factor was reported in catfish. But bioaccumulation was
5 found in algae and fresh water snails that had been
6 exposed to wastewater treatment effluent. And also
7 bioaccumulation was found in sediment-dwelling worms that
8 were exposed to sediment that was spiked with TCC.

9 No studies have been identified in the literature
10 that have looked at bioaccumulation in terrestrial
11 organisms.

12 --o0o--

13 DR. KROWECH: In terms of pharmacokinetics and
14 metabolism, there were a number of studies in the 1970s
15 and 80s that looked at both pharmacokinetics and
16 metabolism, and found that TCC is absorbed from the skin
17 after showering. Once careful study found .39 percent of
18 an applied dose was excreted in urine and feces. And
19 another study found that a small amount -- after
20 showering, a small amount of TCC remains on the skin and
21 it's slowly absorbed over time.

22 Excretion and metabolism are basically the same
23 after oral and dermal doses. The main urinary metabolites
24 are the N and N'-glucuronide conjugates. And an early
25 study found that approximately 25 percent of the dose was

1 excreted in the urine, with the remainder being excreted
2 in the feces.

3 --o0o--

4 DR. KROWECH: There are a couple of biomonitoring
5 studies to look at. After showering with TCC soap, N and
6 N'-glucuronides have been identified in the urine. One
7 study from the 1980s, which was looking at analytical
8 methods, did measure N-glucuronides in the urine at levels
9 of approximately -- in the range of 30 micrograms per
10 liter.

11 And that study didn't provide any details about
12 the number of individuals or the amount of TCC in the
13 soap. So I'm just putting it here as reference. There's
14 current research ongoing at UC Davis. And in that study
15 of six individuals, TCC was detected in all individuals.
16 There was a wide range in terms of the levels that were
17 recovered in urine.

18 The peak concentrations after showering were
19 from -- ranged from 6 to 24 hours. And the concentrations
20 of TCC at the peak ranged from 35 to 300 micrograms per
21 liter. And these are the metabolite which was hydrolyzed,
22 so just to make that clear.

23 --o0o--

24 DR. KROWECH: TCC was included in a study looking
25 at environmental contaminants in breast milk. It was not

1 detected. CDC has not included -- CDC has not included
2 TCC in biomonitoring studies released to the public. They
3 have conducted some pilot studies. And those results from
4 those studies have not been released.

5 --o0o--

6 DR. KROWECH: In terms of laboratory analysis,
7 Biomonitoring California would need to develop its own
8 analytical methods, methods for urine sample preparation
9 are developed. Analysis could be bundled with triclosan
10 and certain other environmental chemicals -- environmental
11 phenols.

12 In terms of the need to assess the efficacy of
13 public health actions, TCC is widely used, persistent in
14 the environment, absorption from common products has been
15 established. There are concerns for endocrine disruption.
16 And biomonitoring would help assess the extent and level
17 of exposure in California and evaluate the need for
18 further action.

19 ACTING CHAIRPERSON LUDERER: Thank you very much,
20 Dr. Krowech. Do any of the Panel members have any
21 clarifying questions at this time?

22 I did have a question. You mentioned the
23 concentrations that have been found in influent and
24 effluent from sewage treatment plants. Have there been
25 any studies that looked for triclocarban in drinking water

1 or in food products?

2 DR. KROWECH: Not that I know of.

3 ACTING CHAIRPERSON LUDERER: Any other questions
4 from Panel members?

5 Dr. Quint.

6 PANEL MEMBER QUINT: This is Julia Quint. In the
7 written summary, you mentioned a study, I mean, I guess
8 results that had been submitted by industry in the HPB
9 program, but that you had not analyzed the studies. And
10 I'm wondering if EPA had evaluated the data that had been
11 submitted?

12 I know the studies were negative for two
13 reproductive -- two generation reproductive study and some
14 other negative findings, but there were no details about,
15 you know, the amount administered or anything like that.
16 So I'm wondering if you had other details.

17 DR. KROWECH: That information is available.

18 PANEL MEMBER QUINT: Okay, but it hasn't been
19 evaluated by EPA?

20 DR. KROWECH: It's been summarized and there are
21 details in that summary.

22 PANEL MEMBER QUINT: Right, because often those
23 summaries are not evaluated, they just are summaries, so I
24 just was wondering.

25 Okay, thank you.

1 ACTING CHAIRPERSON LUDERER: Dr. Wilson.

2 PANEL MEMBER WILSON: Thank you very much for
3 that presentation. And I guess, you know, my question is,
4 if -- your sense from the -- in your first slide on use
5 and exposure, if this is a, you know, a substance that's
6 increasing in use or do we have enough information to
7 know?

8 DR. KROWECH: I don't think we have enough
9 information to know.

10 PANEL MEMBER WILSON: Yeah. Is it -- if I could
11 follow that up.

12 Is the use of triclocarban, is that identified --
13 I assume it's not exactly identified on product labels.

14 Right, so at this point, we didn't -- we
15 wouldn't --

16 DR. KROWECH: I'm not sure about that. I didn't
17 look at that.

18 PANEL MEMBER WILSON: Yeah. Thank you.

19 ACTING CHAIRPERSON LUDERER: Did you want to
20 quickly clarify that?

21 MS. LEE: I've actually seen triclocarban listed
22 as an ingredients -- Oh, sorry, Diana Lee with the
23 California Department of Public Health.

24 So both triclosan I've seen, as well as
25 triclocarban.

1 ACTING CHAIRPERSON LUDERER: Dr. Bradman.

2 PANEL MEMBER BRADMAN: I have a series -- a few
3 questions here, just for a little clarification.

4 When we see it's used as an antibacterial agent,
5 I just want to clarify whether this is being used as a
6 preservative for products or whether it's considered to
7 have some public health function?

8 DR. KROWECH: I think it's active against
9 gram-positive bacteria. There are studies that -- or one
10 study that someone handed me once that showed a study
11 of -- a hand-washing study with, you know, no washing,
12 soap with antibacterial agent and regular soap. And there
13 was no difference. So I don't -- I don't think
14 that -- there was no difference in disease rate.

15 PANEL MEMBER BRADMAN: So this isn't like some of
16 the stronger compounds that are used in hospital settings?

17 DR. KROWECH: If it is, it's also used in, you
18 know, consumer products. And it's not -- it's not clear
19 that there's a public health purpose.

20 PANEL MEMBER BRADMAN: Okay, next question. In
21 the persistence and bioaccumulation, you said there was
22 some evidence of bioaccumulation algae in freshwater
23 snails. Do you have any like factors on -- you know, if
24 there's a bioaccumulation factor, do you know what those
25 factors are?

1 DR. KROWECH: I can look that up for you in the
2 break, but I think that it might have been around 2,000.
3 I'm not sure. You know, I'm not sure, and I'll look that
4 up.

5 PANEL MEMBER BRADMAN: Next question. It looks
6 like from the pharmacokinetic and metabolism data here in,
7 and in this document from LANXESS Corporation, that the
8 half-life is around three to four days, what I can guess
9 from some of these numbers.

10 Has there been any work looking at that in
11 children, and what are the metabolism differences in
12 children. And I've also extended that also just to group
13 some of my questions together about some of the potential
14 toxicity studies. Has there been any attempt to look at
15 differences between, for example, young animals or how the
16 impact may be different on children versus adults.

17 DR. KROWECH: Well, I think the answer is no, or
18 that I have seen. But I think there have been so few
19 studies of this. So I would guess that I would have
20 talked to someone who knew about it.

21 The studies in the seventies and eighties only
22 looked at adults. And if there's some ongoing research, I
23 mean, that's possible.

24 PANEL MEMBER BRADMAN: So just my last question
25 or comment. You indicated that CDC has done some pilot

1 studies, but there's been no biomonitoring studies
2 released to the public. Is it possible for the
3 Biomonitoring Program to request that from CDC, and that
4 perhaps might provide some additional information?

5 DR. KROWECH: I can follow through with that --
6 follow up on that.

7 PANEL MEMBER BRADMAN: Okay. Thanks.

8 ACTING CHAIRPERSON LUDERER: Dr. Solomon.

9 PANEL MEMBER SOLOMON: Yes. One comment and one
10 question. The comment is just to follow up on the issue
11 of whether triclocarban appears on labels. And my
12 understanding is that since triclosan is often included as
13 an antibacterial and therefore registered pesticide, it
14 does have to be on the labels, but triclocarban frequently
15 is used just as a deodorant, and it's not therefore a
16 pesticidal use, and therefore it does not have to be on
17 the label. So on these deodorant soaps, it often doesn't
18 appear.

19 And the FDA has a monograph that's been in draft
20 form for quite a long time on these sort of antimicrobial
21 soaps and so forth. And exactly what Gail said is
22 correct, that they've concluded that there's no benefit
23 over using regular soap to these products. So that's just
24 something that was just released, I think, just a month or
25 so ago.

1 But my question actually was, there's a mention
2 in the write-up about binding to the aryl hydrocarbon
3 receptor. And so I was just curious if there's any
4 additional information about that? Any dioxin-like
5 properties that have been identified in any other studies,
6 or whether that's just kind of an isolated finding?

7 DR. KROWECH: That was one study that I found.
8 There were, in general, so few studies about, not only --
9 you know, the toxicity but just about triclocarban. So I
10 felt like I couldn't put everything, you know, on this
11 slide, but I didn't find out any more information than
12 really that one study.

13 ACTING CHAIRPERSON LUDERER: Do any other Panel
14 members have questions at this time?

15 Dr. Wilson.

16 PANEL MEMBER WILSON: Mike Wilson. Has EPA
17 managed to gather any information on this substance
18 through their HPV challenge program, in that it's a high
19 production volume?

20 DR. KROWECH: Yes. And so that's where there's a
21 summary of data in there, yes.

22 PANEL MEMBER WILSON: Okay. Thank you.

23 ACTING CHAIRPERSON LUDERER: Dr. Quint.

24 PANEL MEMBER QUINT: I guess what's most -- this
25 is Julia Quint. What's most intriguing to me, in the

1 information you presented, is the in vivo findings from
2 the group from Davis, and the effects in whole -- what are
3 they snails?

4 DR. KROWECH: Yes.

5 PANEL MEMBER QUINT: -- that wouldn't have been
6 picked up in the endocrine disruptor screening program by
7 EPA. The fact that these don't have direct effects, and
8 so the binding to the in vitro studies that EPA is using
9 in the screening program would not have picked up this
10 particular chemical, that you see the result. They have
11 the same results, probably a different mechanism, but
12 would not have been picked up.

13 So I think that that's intriguing and repeated
14 both for the estrogen effects agonist effects, as well as
15 testosterone. So those are quite compelling. And it
16 seems to me that -- yeah, I guess two things, that it
17 really makes it a much more, you know, compelling reason
18 to biomonitor for something like this, and also whether or
19 not there would be -- would it be appropriate to
20 communicate to EPA from this program that this chemical
21 has been under discussion, and we are aware that -- of
22 this particular finding. It's in the literature. I mean,
23 it's in the paper, that it would have been missed or will
24 be missed in the screening program.

25 But I think it's really important when we find

1 things like this, and there is active discussion of these
2 chemicals, that there be some sort of communication
3 between California Biomonitoring and EPA, that, you know,
4 this is something that they should pay attention to and
5 it's something that came up in a discussion of one of our
6 chemicals or something like that.

7 So it's not -- you know, it's just a comment. I
8 think it's really important to have that sort of
9 interaction around these findings, because they're
10 potentially a significant public health -- they are of
11 significance, in terms of public health protection.

12 ACTING CHAIRPERSON LUDERER: Any more questions
13 from Panel members at this time?

14 Okay. We will then move on to public comments.
15 I have received notice that there's one public comment,
16 are there additional public comments?

17 MS. DUNN: There's two more

18 ACTING CHAIRPERSON LUDERER: So we have three all
19 together.

20 Okay. We are, let's see, running about 10
21 minutes ahead of schedule, at this point, by my watch. So
22 I think we probably have time for all three to give their
23 full comments as long as we stay within about a 20-minute
24 comment period okay.

25 Sara, did you have a comment?

1 MS. DUNN: You can just call the person who I
2 gave to you.

3 ACTING CHAIRPERSON LUDERER: I'll call the first
4 person. So our first public comment is from Mr. Carl
5 D'Ruiz, from the Henkel Consumer Goods, Incorporated.

6 MR. D'RUIZ: I have a presentation. Thank you,
7 OEHHA, and the Panel for allowing to us speak.

8 In the interest of product stewardship, we have
9 gotten together with our supplier of the ingredient. And
10 since we are a manufacturer of the consumer product have
11 decided to present some data, which may be useful in your
12 assessment of the exposure of this chemical within the
13 products that's being sold, as well as -- I'm moving over.

14 (Thereupon an overhead presentation was
15 Presented as follows.)

16 MR. D'RUIZ: Hello. So anyway, I thought it
17 would be essential for us to, in the interests of
18 transparency, in terms of involving all the stakeholders,
19 provide you with information from the horse's mouth, in
20 terms of what we know about the chemical, so that you can
21 make better and more informed decisions.

22 My background is public health. I've been in the
23 business 25 years. I've been a regulator at EPA. I've
24 worked in the industry for 20 years with chemicals and
25 pharmaceuticals and consumer products. This presentation

1 is a collaboration between myself and my colleagues, which
2 are listed here and are available, if you need to speak to
3 them.

4 --o0o--

5 MR. D'RUIZ: Just some background on the
6 regulatory status. Triclosan -- I'm sorry, TCC has been
7 used for over 40 years. World wide it hasn't had any
8 adverse effects to my knowledge.

9 In the United States, TCC is primarily regulated
10 by FDA, under the Tentative Final Monograph or Topical
11 Antiseptic OTC drug products. As such, the indication is
12 to reduce bacteria on skin. It's an OTC product,
13 therefore it must conform to having the ingredient
14 manufactured on the good manufacturing practices, in
15 accordance to United States Pharmacopeia standards of
16 purity.

17 Extensive information has been made available and
18 exists on health and environmental impacts -- effects of
19 TCC. This, of course, was submitted to the EPA under the
20 High Production Volume Program, and other agencies
21 throughout the world.

22 --o0o--

23 MR. D'RUIZ: TCC has been reviewed for safety
24 globally through European Union Scientific Committee on
25 Consumer Products, SCCP, concluded in 2005 that the use of

1 TCC for non-preservative purposes in cosmetic rinse-off
2 hand and body care products up to a maximum of 1.5
3 percent, does not pose a direct risk to the health of the
4 consumer.

5 Additionally, it's approved as a cosmetic
6 preservative. And the EU at a .2 percent, and Switzerland
7 also at .2 percent. And in the Japanese cosmetic
8 standard, it's listed as a preservative at .3 percent for
9 leave-on products with no specified upper limit for
10 rinse-off products.

11 --o0o--

12 MR. D'RUIZ: Some information on the use and
13 exposure potential. In the U.S. it's used primarily as an
14 ingredient in antibacterial bar soaps. It's use in
15 deodorants is less than one percent. The ingredient
16 labeling for cosmetics, which deodorant is, is regulated
17 by FDA. And any product which does include TCC as an
18 ingredient would be captured under the ingredient listing,
19 under the INCI name, which is the International
20 Nomenclature of Cosmetic Ingredients, name provided, which
21 is triclocarban.

22 The product import volume 2005 to 2010, filling
23 in the gap that you noticed before, is less than 500,000
24 pounds. This has been steadily declining since the onset
25 of the HP -- the high production volume program at EPA,

1 which had a limit of one million pounds in the nineties.
2 So we're looking at this as being steadily a decrease in
3 use.

4 Primary human exposure data via personal care
5 products indicates acceptable margins of safety. We have
6 provided a paper looking at the exposures and the margins
7 of exposures looking at worst case scenarios for bar
8 soaps, and have provided that to the Panel, as something
9 to look at, which presents a range of product use, and the
10 margins of exposure that can be encountered given current
11 use.

12 --o0o--

13 MR. D'RUIZ: In terms of environmental
14 occurrence, it is found in surface waters. It's
15 sufficiently removed by wastewater treatment plants at a
16 level of 88 to 97 percents. Low levels in effluent of one
17 part or less. One part per billion. It's removed in
18 sewage sludge, 76 is sorbed into sludge, TCC is
19 biosolids-bound, that means not available.

20 Biosolids land application results in low parts
21 per million levels. We calculate .21 milligrams per
22 kilogram of soil. Those can be found, in terms of
23 exposure estimates, that we use in our paper, which is in
24 the pack, if you need to see it.

25 --o0o--

1 MR. D'RUIZ: Known or suspected health effects.
2 Reproductive effects at high doses in animals. Testing
3 has been conducted. No effects at 1,000 milligrams per kg
4 per day. No observable effects level is 25 milligrams per
5 kg per day, which is sufficiently lower or much lower than
6 can be anticipated, in terms of product use in bar soaps.

7 Endocrine disruption. Cell culture experiments
8 are not considered representative of exposure levels.
9 Possible presence of chloroanilines, low levels of
10 chloroanilines in USP grade of TCC. I believe FDA in the
11 monograph specifies a limit of 300 parts per million for
12 chloroanilines, in order to be used as an ingredient for
13 topical antibacterial soaps. And that's a level which has
14 been incorporated by United States Pharmacopeia, which has
15 monograph on TCC.

16 --o0o--

17 MR. D'RUIZ: Persistence half-life, depends on
18 the soil. Bioaccumulation, I think, for aquatic
19 organisms, we're looking at BCF of 137 in fish.
20 Terrestrial organisms we're looking at BCF of 5 to 20.

21 --o0o--

22 MR. D'RUIZ: In terms of pharmacokinetics and
23 metabolism. We have observed low dermal absorption from
24 rinse-off products at the .1 to one percent max level,
25 given some studies that have been conducted in the

1 industry.

2 It's metabolized to the glucuronide metabolites
3 excretion, as we had noticed primarily in the urine.

4 --o0o--

5 MR. D'RUIZ: Biomonitoring. TCC is eliminated
6 through the urine, not retained in the body. TCC levels
7 in blood anticipated at low part per billion levels. I
8 believe in 1975, Scharpf conducted a study showing a level
9 of 10 parts per billion in blood, given that the
10 production has gone down at least half fold from that
11 data, we can probably see a mirroring of that as well.

12 Not detected in breast milk to any of the public
13 literature that is available. We were made aware of the
14 UC -- University of California at Davis study just
15 recently, when we saw the presentation. So we haven't had
16 the ability to look at that in detail, so can't comment at
17 this point.

18 --o0o--

19 MR. D'RUIZ: Okay. So conclusions. It's been
20 around for 40 years. Safely used around the world.
21 Extensive data is available not only in the U.S. under the
22 High Production Volume Program. Data has been submitted
23 to FDA on the efficacy and safety under the rule-making
24 for topical antiseptic antibacterial products. That rule
25 making is still ongoing.

1 They are evaluating the efficacy. The last time
2 they evaluated that was 2005 where they had an expert
3 panel convene and look at the benefits. Data has been
4 submitted by industry in 2008, which was not considered in
5 their press release of about a month ago.

6 That information should come out in their next
7 rendition of the monograph, which will be in the spring of
8 2011. So we hope that, at that point in time, that
9 information will also be considered. And if any further
10 information is required, the industry will respond as
11 appropriate.

12 Several authoritative bodies throughout the world
13 have looked at TCC and have concluded that the ingredient
14 is safe up to a level of 1.5 percent. In soaps you will
15 find it commonly used .6 percent, if it's going to be
16 used. And that predominant use, we're looking at 99
17 percent of the use. If it's used as a cosmetic ingredient
18 a preservative or deodorant effect, it usually is at a
19 level of .3 percent or lower. And that, of course, will
20 be disclosed in the ingredient listing per the
21 regulations, under cosmetics for FDA.

22 --o0o--

23 MR. D'RUIZ: Again, the use is not as widely as
24 it may have commonly been thought. It's not used in
25 liquid hand soaps. It's not used in any other than in bar

1 soaps at the moment. And that's the 99 percent
2 probability. Eliminated from the body.

3 Endocrine disruption from in vitro systems are
4 very difficult to extrapolate to humans. This is an
5 emerging science. We are trying to put our hands around
6 this, and look at this. But the toxicological endpoints,
7 which are the reproductive and development studies, do not
8 show any adverse effects.

9 So our conclusion, in terms of our perspective,
10 is that TCC should be a low priority for biomonitoring,
11 based on the low annual volumes, which are decreasing, low
12 consumer exposure, and acceptable margins of exposure.

13 And we also note that FDA is in the progress of
14 conducting a rule making on this, and they will be active
15 within the next six months or so and presenting another
16 rendition of the monograph, which will summarize our
17 thoughts in terms of safety and efficacy.

18 So that being said, thank you so much for your
19 time.

20 ACTING CHAIRPERSON LUDERER: Thank you very much
21 Mr. D'Ruiz. We have two additional public commenters.
22 Mr. Davis Baltz from Commonweal is present. So if you'd
23 like to come up and give your comment now.

24 MR. BALTZ: Davis Baltz with Commonweal.

25 I would just like to make a couple of comments

1 and reaction to the industry presentation. You know, we
2 know of many chemicals that have been used for decades,
3 with claims of their safety that have subsequently proved
4 to be problematic. And I'm not necessarily saying that
5 TCC is going to be one of those when all is said and done.

6 But we do need to look at evidence and research
7 over time. And I think the staff presentation earlier
8 today did point out some endocrine disrupting properties
9 of TCC that the industry representative Mr. D'Ruiz did not
10 mention, specifically the in vivo studies in rats.

11 So I would just encourage the Panel to list TCC
12 as a designated chemical. It clearly meets most, if not
13 all, of the criteria that you're charged to consider when
14 considering whether to designate a chemical. And I think
15 it would be a valuable addition to the designate chemical
16 list.

17 Certainly, it would be another step to prioritize
18 it, but we don't want to lose something that might be
19 problematic now by failing to designate it.

20 Thank you.

21 ACTING CHAIRPERSON LUDERER: Thank you very much,
22 Mr. Baltz.

23 We have one final comment, which was submitted by
24 Email. And the commenter is Mr. David C. Steinberg from
25 Steinberg & Associates.

1 And his comment reads, "I am the author of the
2 book Preservatives For Cosmetics. TCC is rarely if ever
3 used as a preservative in cosmetics. It is an active
4 ingredient in deodorant soaps, which are regulated as
5 over-the-counter drugs. It is mandatory to label this in
6 the active ingredient section of the Drug Facts pane.
7 Finally, it's use is decreasing."

8 So that's -- and that's the end of the Email
9 comments.

10 Are there any additional comments that have been
11 received in the interim?

12 MS. DUNN: No.

13 ACTING CHAIRPERSON LUDERER: No.

14 Okay, then I'd like to open this up again for
15 Panel discussion? Do Panel members have any comments or
16 questions?

17 Dr. Culver.

18 PANEL MEMBER CULVER: What I was wondering -- oh,
19 that works better.

20 (Laughter.)

21 PANEL MEMBER CULVER: I was wondering whether Mr.
22 D'Ruiz would feel that the biomonitoring information about
23 the product would be helpful for product stewardship or
24 detrimental to product stewardship?

25 MR. D'RUIZ: Good question.

1 Every product has a benefit and a risk. If we
2 look at the benefits of the product, it's up to the
3 Government to determine whether or not the benefits are
4 more -- outweigh the risk.

5 From a biomonitoring perspective, the data, at
6 least as far as we see it, does not indicate it should be
7 a priority chemical, given other chemicals, which may be
8 more important to study from a health perspective.

9 We have no indication of over 40 years of use
10 that this chemical has been problematic. The endpoint, in
11 terms of reproductive and developmental data, clearly show
12 that there are no toxicological adverse effects associated
13 with the use.

14 So from that perspective, it does not seem to be
15 a high priority chemical. And if you will consider it,
16 perhaps it should be a low category for future
17 consideration within the next year or so, given all the
18 activity that's currently ongoing with the rule-making
19 within the federal level.

20 ACTING CHAIRPERSON LUDERER: Dr. Solomon.

21 PANEL MEMBER SOLOMON: Yes, don't go away. I
22 have another question.

23 (Laughter.)

24 PANEL MEMBER SOLOMON: I was very interested in
25 the data suggesting that the use of triclocarban has been

1 declining over time. And so I was just curious what, if
2 anything, is replacing it in bar soaps? What's sort of
3 behind the decline in use in the market?

4 MR. D'RUIZ: Maybe the supplier knows a little
5 bit more about that, since they deal with all the
6 purchasers of the product.

7 To my knowledge, AB soaps comprise about 30
8 percent of all bar soaps. So there's been a steady
9 decline in the use of AB bar soaps over a period of time.
10 That might be contributing to it.

11 But Luanne -- this is my supplier from LANXESS,
12 Luanne.

13 MS. JERAM: Hi. Luanne Jeram from LANXESS
14 Corporation.

15 As Carl mentioned, I think overall the market as
16 I understand it, is just the use of bar soaps, in general,
17 are declining. And then, in this case again, only 30
18 percent of that would be the antibacterial bar soaps.

19 So more popular are liquid soaps, that sort of
20 thing. So I think that's why we're seeing a decline in
21 the use of TCC overall.

22 ACTING CHAIRPERSON LUDERER: Dr. Quint.

23 PANEL MEMBER QUINT: Hi. This is Julia Quint.

24 I guess a couple of questions. You mentioned a
25 couple of times -- and I want to congratulate the industry

1 on, you know, developing data that's submitted to EPA
2 under HPV. There were no details in your slides about the
3 information submitted to the studies, particularly the two
4 generation reproductive development study that was
5 submitted.

6 About, you know, how much was administered, that
7 sort of thing. Do you have any of those details? You
8 don't have to give me a lot of detail, but I'm just
9 wondering if you have any information about those -- that
10 study since it was negative.

11 MR. D'RUIZ: We don't have them with us, but
12 we'll be more than happy to provide those to you.

13 PANEL MEMBER QUINT: Yeah, that's fine.

14 MR. D'RUIZ: It's under the HPV program if you
15 look at EPA.gov.

16 PANEL MEMBER QUINT: Right, and I could look that
17 up. But I also was very interested in the fact that you
18 had not yet reviewed the Davis study, which, you know, you
19 said the in vitro studies, in terms of endocrine
20 disruption were not relevant, in your opinion, to humans,
21 but there is this in vivo study on snails by UC Davis,
22 which I think your group has not --

23 MR. D'RUIZ: We've only become aware of that
24 since the publication of the presentation on the website
25 two days ago. So we haven't had the opportunity to look

1 at that.

2 PANEL MEMBER QUINT: Right. And I guess the one
3 final question is we've heard. I mean, why is this
4 chemical -- why is TCC being put into the bar soaps. It
5 sounds like it could be left out and you could still have
6 a great product.

7 MR. D'RUIZ: Well, the consumers want it.

8 PANEL MEMBER QUINT: Because what?

9 MR. D'RUIZ: Consumers want it.

10 PANEL MEMBER QUINT: Consumers want it.

11 MR. D'RUIZ: Antibacterial deodorant is the
12 primary claim. It's also used to a lesser extent in
13 health care facilities as far as that infection-type
14 control.

15 But as I said before, bar soaps are on waning --
16 on the wane. People like liquid soaps. They're much more
17 convenient, so they're shifting more towards liquid forms
18 of dosages, rather than bar forms.

19 PANEL MEMBER QUINT: And the liquid soaps used
20 for consumer products don't have antibacterials in them,
21 is that the case?

22 MR. D'RUIZ: I don't know what the market is, but
23 there are antibacterial liquid handsoaps, body washes, and
24 there are non-antibacterial soaps as well. So there's a
25 little bit more.

1 PANEL MEMBER QUINT: So we may be declining in
2 use in the bar soaps, the AB bar soaps, but we could
3 have -- this chemical could be in the liquids.

4 MR. D'RUIZ: No, because of the formulation
5 properties, it's not soluble in liquids. So it's not the
6 primary choice for formulating in liquid soaps, so it
7 doesn't appear as though it's a good substitute for the
8 other dosage form.

9 PANEL MEMBER QUINT: Okay.

10 ACTING CHAIRPERSON LUDERER: Dr. Wilson.

11 PANEL MEMBER WILSON: Well, in California, we're
12 known for getting exercise Mr. D'Ruiz, so we're going to
13 ask you to come back up.

14 (Laughter.)

15 PANEL MEMBER WILSON: We're doing some laps here.
16 Thank you very much for your presentation, and
17 for answering all our questions. We really appreciate it.

18 You know, you can sort of get a sense from the
19 Panel here, we're trying to get a handle on what's
20 happening with the use of this product in the market.

21 And as I understand it, what you're saying is its
22 use is declining in bar soaps, because the use of bar
23 soaps is going down. So I guess more clearly, its use is
24 declining in commerce, because the use of bar soaps is
25 going down, and it's not used in liquid soaps.

1 MR. D'RUIZ: Correct.

2 PANEL MEMBER WILSON: And Dr. Solomon asked, you
3 know, well, what is the anti -- if consumers are asking
4 for this product, as you say, are they -- is there a
5 substitute that's being used as an antimicrobial or
6 antibacterial agent in the liquid soaps and is that market
7 expanding?

8 MR. D'RUIZ: Well, as you know, the antibacterial
9 soaps category is regulated by the Food and Drug
10 Administration on the topical antiseptics monograph. In
11 that monograph, you'll find a number of active
12 ingredients, which can be used, either for bar or liquid
13 use, in making the claim antibacterial. On that list
14 you'll find alcohol, which is used in hand sanitizers.
15 You'll find iodine povidone as well. You'll find TCC.
16 You'll find triclosan. You'll find triclocarban. You'll
17 find a number of other quats. That monograph has been
18 around since 1978.

19 FDA is in the process of reviewing this safety
20 for at least more than half my lifetime. We anticipate
21 now in the next rendition that they'll split the monograph
22 into two, one the consumer side, and the other side is the
23 health care side.

24 So there will be two monographs which will come
25 out in the spring, and we're anxious to see what they say.

1 And within that, we're going to see what happens with the
2 ingredients. And, you know, they basically classify the
3 ingredients based on category one, two or three. One is
4 safe and effective. Category two is not safe, not
5 effective, therefore it's banned and not available for use
6 in OTC drug products. And the category three, which most
7 of these ingredients are under, is not enough information
8 to determine safety and efficacy.

9 And that's something to which industry has been
10 providing data over the last couple of decades, in terms
11 of support of both the safety and the efficacy in search
12 of getting the category one listing for the ingredients on
13 the monograph.

14 Some of those, like benzethonium chloride, are
15 quats which may be more acceptable for use in the longer
16 term. We don't know. That's up to FDA to determine.
17 They're used in drug products. They're used in
18 ophthalmology products. They're used in a variety of
19 other antiviral products.

20 So it's really up to FDA to make the decision on
21 the benefit versus the risk. And the risk and the benefit
22 are being addressed by industry, in terms of coalition
23 effort.

24 So I'm not sure if I answered your question.

25 PANEL MEMBER WILSON: If I could follow up that

1 question. Does the -- you're with Henkel. Does Henkel
2 produce the soap or the TCC?

3 MR. D'RUIZ: We make the consumer bar soap. TCC
4 is manufactured by LANXESS --

5 PANEL MEMBER WILSON: Right.

6 MR. D'RUIZ: -- who is Luanne, and also by --
7 it's become a commodity over the several years, and it's
8 also manufactured by a number of Asian companies as well.
9 So because it's regulated as a drug, it needs to comply
10 with the United States Pharmacopeia standards for purity,
11 in terms of consistency for drugs.

12 So any product that will be used for OTC
13 antibacterial products will be USP grade, which must
14 conform with the limits of chloroaniline and the
15 specifications listed on the Pharmacopeia, prior to its
16 being allowed to be used in the final drug product.

17 PANEL MEMBER WILSON: Right. So then as the
18 manufacturer of the product, of liquid soaps --

19 MR. D'RUIZ: Bar soaps, in this case.

20 PANEL MEMBER WILSON: Okay, but you're also
21 manufacturing liquid soaps as well, is that right?

22 MR. D'RUIZ: We make liquid and bar, yeah.

23 PANEL MEMBER WILSON: So where is your industry
24 headed, I guess, is my question? Your market is expanding
25 in liquid soaps. That seems to be, as I understand it,

1 the interest on the consumer side.

2 MR. D'RUIZ: We're interested in health, hygiene,
3 and skin moisturization and the benefits of soap to skin,
4 in terms of preventing disease, healthy lifestyle, and
5 wellness. So that's our goal to which I'm helping drive
6 the ship a little bit.

7 ACTING CHAIRPERSON LUDERER: Dr. Solomon.

8 PANEL MEMBER SOLOMON: Just one more question for
9 you about the issue that came up earlier --

10 MR. D'RUIZ: Can I have a job here.

11 (Laughter.)

12 PANEL MEMBER SOLOMON: -- about potential for
13 uptake in crops or -- you know, it does seem to be sort of
14 an interesting outstanding question, if there is -- you
15 know, in your presentation it indicated that there is
16 binding in the sewage sludge, and therefore presumably the
17 TCC would not be bioavailable. And I was just wondering
18 what that was based on and whether you have data to back
19 that up.

20 MR. D'RUIZ: Yeah. I think my colleague, Dr.
21 Ayala, has actually performed that calculation. And
22 Felix, would you like to -- this is Felix Ayala from
23 Henkel. And he did the exposure assessment, which he can
24 address that

25 DR. AYALA-FIERRO: Good morning. I think last

1 year there was the Micropol workshop in San Francisco, and
2 we have scientists from the University of Florida, Dr.
3 George O'Connor, who provided a presentation regarding the
4 uptake to plants. What he did, he measured the percent
5 leachability of how much it leaches from the soil. He
6 presented that TCC is biosolids bound and only a .2
7 percent was available for leaching as free.

8 And when they measured the TCC in plants, he
9 provided some bioaccumulation factors, which were very,
10 very small, like .000 something. So based on that, he
11 seems to ask that these are free TCC would be very small
12 levels as free to be taken up by these plants.

13 So even if we assume that all TCC is taken up by
14 the plants, somebody will have to eat -- a consumer will
15 have to eat incredible -- huge amounts of something
16 growing in the soil to ingest significant amounts of TCC.
17 But in this presentation, again, the recommendation factor
18 was small extremely small like .0002. And I think we
19 provided some of these numbers in the document that we
20 provided for review.

21 PANEL MEMBER SOLOMON: It would be actually
22 wonderful to have that study, if it's possible to get a
23 copy of it.

24 DR. AYALA-FIERRO: We will.

25 PANEL MEMBER SOLOMON: Thank you.

1 ACTING CHAIRPERSON LUDERER: Dr. Wilson.

2 PANEL MEMBER WILSON: Thank you. Yeah, Mike
3 Wilson. Thank you, Dr. Ayala-Fierro.

4 I'm still struggling with this question of, you
5 know, we're trying to prioritize our thinking around what
6 to do about this question of antibacterial agents in
7 soaps. And I don't think I quite understand yet -- as I
8 understand it, if I could restate what I heard, the liquid
9 soap market is expanding. There's an interest in the
10 industry in using antibacterial agents in those products.

11 And so I'm curious what that agent is, if it's
12 not TCC. So either -- if either of you could answer that
13 question or one of the three, I would really appreciate
14 it.

15 MR. D'RUIZ: Industry is bound by the list of
16 ingredients on the monograph. So that's all we've got to
17 play with.

18 In addition to the safety side, there's an
19 efficacy performance standard, which FDA has been issuing
20 in the monograph, okay.

21 So since '78 -- in '94, the monograph was
22 amended. And in the '94 monograph, the FDA said, okay, if
23 you want to show efficacy for the products, what you have
24 to demonstrate is a one log reduction of bacteria after
25 first wash, and a three log reduction after the 10th wash.

1 Those are the current performance standards for
2 antibacterial soaps.

3 Therefore, if you want to formulate or make a
4 product, you have to meet that criteria, in addition to
5 everything else that you have to do, in terms of making
6 sure the chemical is appropriate for your formulation, et
7 cetera.

8 So that is basically the final test, in terms of
9 whether the product has benefit or not. So really, what
10 the industry has been trying to do within the limited list
11 of ingredients, is to utilize that ingredient which
12 provides the best efficacy with the best safety profile,
13 at the lowest cost.

14 So from that perspective, that's where we're at.
15 And we're bound by the list that is given to us by the
16 monograph.

17 PANEL MEMBER WILSON: You're not able to tell the
18 Panel what the substance of -- that's of increasing use or
19 likely to be introduced into the market.

20 MR. D'RUIZ: All I can say is we're evaluating
21 all the ingredients in different proportions, and the
22 endpoint is the efficacy standard. If it doesn't make the
23 efficacy, then we can't use it, so it's no good. In a lot
24 of cases, they don't make the efficacy, so you can't use
25 it. So the ones that are being use do make the efficacy,

1 and that's where we're kind of stuck right now.

2 On the other side, we do have regular -- plain
3 soap and water as well. And those are currently
4 available, and they're doing well as well. So as long as
5 we're able to meet the criteria for performance, we'll
6 have those products available.

7 Should they change, then we'll adjust
8 accordingly.

9 PANEL MEMBER WILSON: Thank you.

10 ACTING CHAIRPERSON LUDERER: Dr. Quint.

11 PANEL MEMBER QUINT: I don't know if this is a --
12 this is Julia Quint. I'm not sure if it's a question for
13 you or for the supplier. But in the paper by the Davis
14 Group, there was a statement that I think -- let me make
15 sure I get it right, that TCC is estimated to be
16 detectible in 60 percent of U.S. streams. And they give
17 the concentrations and there's a reference. And I
18 think -- I'm just wondering if you could comment on that,
19 if you're aware of this aquatic -- seems like pretty
20 widespread aquatic contamination with TCC and --

21 MR. D'RUIZ: Yeah, Felix is the environmental
22 toxicologist, the public health guy and regulatory guy.

23 PANEL MEMBER QUINT: Okay.

24 DR. AYALA-FIERRO: Yes, you're correct. TCC is
25 detectible in the influents in concentration, worst case

1 scenario up to five parts per million micrograms per
2 liter, but is removed by the wastewater treatment plant up
3 to 98 percent. So based on that, the TCC concentration,
4 in this case, would be effluent water. After they come
5 out from the wastewater treatment plant, they will be in
6 the parts per billion range.

7 Recent data, and there is one study here from
8 California, they measured TCC in water reuse up to .22
9 parts per billion. These are very low levels of
10 triclocarban. And what it shows is the concentration
11 after you go through the wastewater treatment plant, it
12 will be removed. It will be sorbed into the sludge, up to
13 76 percent, I think that's the data that is publicly
14 available. So based on that, it would be in the sludge,
15 but it would not be in high concentrations in the
16 effluents.

17 PANEL MEMBER QUINT: Yeah, I guess we'll have to
18 wait your -- you know, when you look at the Davis study,
19 but I think the importance of the study, with the Davis
20 study, was showing that at environmentally relevant
21 concentrations, we were having these in vivo effects. So
22 that is the primary concern here, that these are not
23 really high concentrations. These effects on estrogen, in
24 this case, are happening at environmentally relevant
25 concentrations, that could be, you know -- that, you know,

1 so far, we're seeing those levels in streams, in a lot of
2 streams.

3 DR. AYALA-FIERRO: Well, last year at the ACS
4 meeting, the Chemical Society meeting in Washington, we
5 presented a talk, and with it a risk assessment. And
6 based on the SSD, which is the Stability Distribution,
7 which takes into consideration all the different species,
8 vertebrate, invertebrate. And we do a PNEC -- the PEC
9 versus PNEC ratio which is an acceptable number for risk
10 assessment the environmental concentration to the no
11 effect concentration ratio.

12 And we found that there were significant -- based
13 on those numbers, the potential for diverse events will be
14 low. And this was again presented at the ACS meeting in
15 Washington in August at the 238th meeting, and we can
16 provide a copy of the presentation.

17 PANEL MEMBER QUINT: Thank you.

18 ACTING CHAIRPERSON LUDERER: Dr. Solomon.

19 PANEL MEMBER SOLOMON: Just one more question
20 about the studies. I'm a little confused about who did
21 which study, but there's the Chen et al. study published
22 in 2008, which is a rodent assay. And it was a whole
23 animal study looking at male sex accessory organ weights
24 in rats exposed to testosterone and triclocarban
25 separately and together.

1 And so that was a study that kind of interested
2 me, because it's not just an in vitro assay. And I
3 noticed in your presentation, Mr. D'Ruiz, that you said
4 that, you know, in vitro assays aren't relevant. And, you
5 know, I actually put some stock in in vitro assays, but
6 when there's also an in vivo assay in rodents and an in
7 vivo assay in snails, it begins to add to the overall data
8 set. Just wondering if you have a response to that.

9 DR. AYALA-FIERRO: Yeah, I think that paper was
10 from Chen at al. 2008. In here they have a combination of
11 in vitro system and in vivo system. And in the in vivo
12 system, he used the Hershberger assay on castrated rats.

13 And the data was very interesting. We previewed
14 the data and we considered that we should continue working
15 with our supplier and see if we can expand that study.
16 One of the things that we found in this paper is that TCC
17 by itself did not affect the body weight, but it did
18 affect the weights of androgen sensitive tissues such as
19 seminal vesicles, the Cowper glands, the levator
20 ani-bulbocavernosus muscles.

21 So there were some effects. There were not
22 effects on the body weight itself, but there was some
23 potential effects. We wanted to see what could be -- how
24 to explain those effects. And we wanted to work and see
25 if we could expand that research to answer some questions

1 we have, and hopefully we can do that soon.

2 ACTING CHAIRPERSON LUDERER: Do any Panel members
3 have additional questions?

4 PANEL MEMBER BRADMAN: I have one brief question
5 for Mr. D'Ruiz.

6 Just briefly, back to an earlier question I had,
7 are any of the bar soaps here marketed for use with young
8 children? And have there been any studies looking at
9 uptake in exposure in young children or any relevant
10 information on that and differences in metabolism?

11 MR. D'RUIZ: To my knowledge, I'm not aware of
12 any study of that nature. The OTC drug fact indication
13 does clearly state keep out of reach of children. So the
14 product should be supervised by an adult in application.
15 So I'm not aware of any children's studies on that.

16 PANEL MEMBER BRADMAN: So a bar soap would say on
17 the packaging keep out of reach of children and --

18 MR. D'RUIZ: Yes, it would -- as an OTC drug
19 facts in the back panel, it will say keep out of reach of
20 children.

21 PANEL MEMBER BRADMAN: So it shouldn't be used
22 with children?

23 MR. D'RUIZ: Unless under adult supervision,
24 right.

25 ACTING CHAIRPERSON LUDERER: Dr. Quint.

1 PANEL MEMBER QUINT: Julia Quint. I'm just --
2 that's fascinating to me that a commercial bar soap would
3 be -- that's brought into the home, would be specified as
4 not be used by children. So that's very interesting.
5 I've never seen that labeling. I don't know if you meant
6 to --

7 MR. D'RUIZ: If you look at all OTC products,
8 they have the same labeling.

9 PANEL MEMBER QUINT: What's OTC?

10 PANEL MEMBER BRADMAN: Over-The-Counter drug.

11 PANEL MEMBER QUINT: Oh, so that's in the fine
12 print somewhere?

13 MR. D'RUIZ: No. Over-the-counter drug products
14 are what you get.

15 PANEL MEMBER QUINT: Oh this is -- oh so none of
16 these soaps are sold as --

17 MR. D'RUIZ: Well, they're over-the-counter drug
18 products, in that they don't require pre-market
19 approval --

20 PANEL MEMBER QUINT: So if I went into a store,
21 where would I find a soap with TCC.

22 MR. D'RUIZ: You'll find it in the soap bar
23 section.

24 PANEL MEMBER QUINT: In the soap bar section with
25 the other bar soaps?

1 MR. D'RUIZ: Yes, in the antibacterial section.

2 PANEL MEMBER QUINT: Okay.

3 PANEL MEMBER BRADMAN: I think what you're saying
4 though as an OTC, like, for example, infant Tylenol would
5 probably say keep out of reach of children, but you would
6 use it with children.

7 MR. D'RUIZ: Right.

8 PANEL MEMBER BRADMAN: So it's not that it's not
9 to be used for children, but that didn't quite answer my
10 question though. Are any of the these bar soaps marketed
11 for --

12 MR. D'RUIZ: No. No, we don't specifically
13 target children.

14 PANEL MEMBER BRADMAN: Okay, but it could be used
15 with children.

16 MR. D'RUIZ: We do a safety assessment
17 indicate -- which is a toxicological assessment indicating
18 it's good for family use. I think -- Felix, do we have
19 any -- Felix, do we have any data on children?

20 DR. AYALA-FIERRO: In the product safety group,
21 we do safety margins for the different populations. We
22 will -- we use the intra and then the interspecies
23 extrapolation. And we take different unknown factors like
24 based on potential difference in drug exposure by kids
25 versus the adults, differences in potential ADME,

1 metabolism absorption distribution in adult. And also the
2 difference in size in adult. And when we do all this
3 recalculation, we find that it is still -- all the margins
4 of exposure are still acceptable.

5 But we've taken into consideration all the
6 different -- all the differences in the different
7 population groups, including not only children, but also
8 in the elderly and other potential subpopulations.

9 ACTING CHAIRPERSON LUDERER: Dr. Quint.

10 PANEL MEMBER QUINT: This is Julia Quint. I
11 really didn't finish. That was just an aside comment
12 about the over-the-counter soaps.

13 I guess we haven't talked about the residual
14 chloroaniline content of TCC, which I understand when the
15 compound is degraded, you also get chloroanilines released
16 from TCC. And, you know, it's a carcinogen. And I think
17 that we -- while we have appropriately probably
18 concentrated on the endocrine disrupting qualities of TCC,
19 we should -- I don't know if you have any comments.

20 I know Sigma, one of the companies that in the
21 materials safety data sheet lists it as a carcinogen and a
22 mutagen, possibly because of chloroaniline content.
23 Probably, it contains at least a tenth of a percent for
24 them to put it on the materials safety data sheet.

25 So do you have any data, in terms of TCC

1 concentrations in bar soaps when that is degraded, whether
2 or not -- what is the chloroaniline release from that use
3 of the product -- or from that product use or category?

4 DR. AYALA-FIERRO: The answers provide data based
5 on the specs for TCC. Based on that information, I think
6 the levels are in the low parts per million -- parts per
7 billion to low parts per million range. The level is
8 specified in the U.S. EPA and the monograph, but we don't
9 have any data for the finished product.

10 Regarding the MSDS, since it is considered a
11 carcinogen, I think OSHA only requires up to .1 percent in
12 the finished product to be listed. So under these
13 conditions, it would not be listed, but it can be provided
14 as additional information in Section 15 for regulatory
15 information.

16 Also, it is listed by California Proposition 65.
17 It would require industry to do a consumer safety
18 assessment for that contaminant just to comply with the
19 warning for consumer products for this special warning
20 statement, like the presence of certain ingredients may
21 cause cancer or developmental effects. But at that low
22 concentration, I wouldn't expect it to be high enough to
23 represent a risk.

24 But we don't have -- to answer your questions, we
25 don't have the actual level for a finished product. We

1 use data for the active ingredient itself as the
2 information for us to decide what else we need to do.

3 PANEL MEMBER QUINT: So the Sigma thing is just
4 to comply with the Prop 65 warning and doesn't mean that
5 it's present in at least a tenth of a percent of the
6 product is what you're saying?

7 DR. AYALA-FIERRO: That's correct.

8 PANEL MEMBER QUINT: Thank you.

9 ACTING CHAIRPERSON LUDERER: Dr. Wilson.

10 PANEL MEMBER WILSON: Mike Wilson.

11 What is the price differential for these products
12 versus those that don't contain the antimicrobial or
13 antibacterial substance is my first question. And the
14 second is, if following up on Dr. Quint's point, if we go
15 to that section of the market and -- or of the store and
16 pick up a liquid-based soap that is marketed as an
17 antibacterial soap, what are we going to read on the
18 label?

19 MR. D'RUIZ: I know a lot, but I'm not sure about
20 the marketing pricing scheme for these products. I can't
21 answer that first question.

22 The second part was, what do you expect on a
23 label?

24 PANEL MEMBER WILSON: Well, my first question has
25 to do with why it is that the product is on the market.

1 And if it has -- you raised the question of efficacy, we
2 understand that there is no efficacy here. And, in fact,
3 there's a problem potentially with the use with children.

4 MR. D'RUIZ: No.

5 PANEL MEMBER WILSON: And so I'm trying to get a
6 handle on what's the motivation for this product to be on
7 the market? That's the first question.

8 MR. D'RUIZ: The motivation is to reduce bacteria
9 on skin to a more effective level than regular soap.

10 Okay, and we're looking at the FDA criteria of a
11 two log reduction after the first wash and a three log
12 after the 10th wash. So that is the monograph level for
13 efficacy, in terms of the benefit, of reducing bacteria on
14 your skin, which may cause disease.

15 On the other side, you have the in vitro data,
16 which is a time kill data, which shows a log reduction of
17 specific organisms, which have been known -- E. coli,
18 salmonella, shigella, et cetera, which are known to
19 transmit diseases to humans.

20 And you do have a percent log reduction, in our
21 case 99.9 percent, or better under the test conditions in
22 vitro for showing cidal activity. So if you can
23 demonstrate that then from a public health perspective,
24 you have a soap product, which is superior than that of
25 plain soap, in that it can be demonstrated through in vivo

1 and in vitro testing that is more beneficial for reducing
2 potential harmful bacteria on skin, which may lead to
3 disease.

4 And that's the ultimate benefit of the product.
5 It is used in health care settings. It has quelled
6 nosocomial infections in hospital units. There's no doubt
7 that it is effective and it is a remedy of choice for
8 reducing infection in high-risk settings.

9 So your situational risk will come into play, in
10 terms of when you are exposing yourself to potential
11 organisms, which may cause disease. If you need to add an
12 extra measure of safety caring for old -- elderly people,
13 sorry, or pets, or changing diapers, you might want to
14 take that extra level of defense and protect yourself from
15 those potential bacteria on your hands. That's the
16 ultimate benefit with these products from a public health
17 perspective.

18 PANEL MEMBER WILSON: And then the second was
19 with regard to the ingredient label for the liquid soap,
20 what is it that we would read?

21 MR. D'RUIZ: It's the same labeling,
22 antibacterial.

23 PANEL MEMBER WILSON: But in terms of the
24 ingredient disclosure, what is it that we would read?

25 MR. D'RUIZ: The ingredient disclosure is the

1 antibacterial agent, right?

2 PANEL MEMBER WILSON: And?

3 MR. D'RUIZ: It can be triclocarban. It can be
4 benzethonium chloride. It can be triclosan. It can be
5 iodine. It can be alcohol. They can see benzalkonium
6 chloride active ingredient. Purpose, antibacterial. To
7 reduce bacteria on the skin is the indication. That's the
8 monograph prescribed. So that's mandated by law.

9 PANEL MEMBER WILSON: Okay, thank you.

10 PANEL MEMBER SOLOMON: I just had a quick
11 follow-up question. So are you saying that solid bar
12 soaps containing triclocarban are used in hospitals to
13 stop outbreaks of nosocomial infections?

14 MR. D'RUIZ: No, I was speaking about liquid hand
15 soaps, in terms of the category.

16 PANEL MEMBER SOLOMON: So not triclocarban then.

17 MR. D'RUIZ: I'm not -- I don't know the data
18 there as well as I do for other ingredients.

19 PANEL MEMBER SOLOMON: Okay, so -- because it was
20 a little confusing, because you seemed to be implying
21 that --

22 MR. D'RUIZ: Yeah, I'm sorry. It was the
23 category as a whole.

24 PANEL MEMBER SOLOMON: -- triclocarban had
25 successfully stopped nosocomial infections in hospitals.

1 MR. D'RUIZ: No, triclosan has, but not --

2 PANEL MEMBER SOLOMON: Okay. And -- all right.
3 And then in terms of the demonstration of efficacy that
4 you were describing, that's data that industry has
5 provided to FDA, but FDA is still considering triclocarban
6 to fall into the category of not demonstrated to be
7 effective or safe.

8 MR. D'RUIZ: I think all the ingredients other
9 than alcohol are category three at this moment.

10 PANEL MEMBER SOLOMON: Okay.

11 ACTING CHAIRPERSON LUDERER: We are behind
12 schedule at this point by about 20 minutes. So I guess
13 one question I have is whether the Program would like the
14 Panel to come to a conclusion regarding our recommendation
15 about designation on triclocarban at this time.

16 MS. HOOVER: Sara Hoover, OEHHA.

17 I mean, it's up to you. You can either make the
18 decision. We don't have a lot of spare time in the agenda
19 today, as you probably noticed. So, you know, if you want
20 to request a motion, you can do that or if you want to
21 postpone and bring it back, you can also do that. So it's
22 really up to the Panel.

23 ACTING CHAIRPERSON LUDERER: Well, then I will
24 turn to the Panel and ask whether any of Panel members, at
25 this time, would like to make a motion?

1 And if not, we can put this -- the designation
2 question off to a subsequent meeting.

3 Dr. Quint.

4 PANEL MEMBER QUINT: I would like to move that we
5 add tri -- I can't even say the word now, TCC to the
6 designated chemical list or added as a designated chemical
7 for the Biomonitoring Program.

8 ACTING CHAIRPERSON LUDERER: All right. So we
9 have a motion from the Panel to recommend that
10 triclocarban be added to the designated chemicals list for
11 the California Biocontaminant Environmental Monitoring
12 Program. I think I just said it wrong.

13 Do we have any seconds for that motion?

14 PANEL MEMBER SOLOMON: Sure, I will second that.
15 This is Gina Solomon.

16 ACTING CHAIRPERSON LUDERER: Okay, we'll take a
17 formal vote then at this time or do we --

18 PANEL MEMBER SOLOMON: Discuss it.

19 ACTING CHAIRPERSON LUDERER: Do we have any
20 additional discussion from any Panel members regarding the
21 motion.

22 Dr. Solomon.

23 PANEL MEMBER SOLOMON: Yeah, this is Gina
24 Solomon.

25 You know, this is an interesting chemical. The

1 reason that I think that it's worth putting on the
2 designated list, at this point, is that we do have pretty
3 good information that it's in consumer products, that
4 there is some skin absorption, that there is some, you
5 know, environmental contamination. And we don't really
6 have a good handle on the magnitude of any of those
7 issues.

8 In fact, you know, in this discussion, it's
9 really been clearly shown that there's quite a bit of
10 dispute about how much is really getting into waterways,
11 how much is really potentially bioavailable in, you know,
12 through sewage sludge and food crops, how much is really
13 getting, you know, absorbed from consumer use.

14 And yet those are all extremely important
15 questions. And there are various ways to go about looking
16 into those. Obviously, I would recommend that somebody go
17 out there and test food crops, for example.

18 But within our purview is the possibility of
19 including this in some, you know, biomonitoring efforts to
20 get a better handle on human exposure which has, you know,
21 as I understand it already been demonstrated, you know, in
22 some very small studies.

23 And the presence of endocrine disrupting, the
24 sort of interesting magnifying effect on both estrogens
25 and androgens is to me very, very interesting finding and,

1 you know, suggestive of something that we would want to,
2 you know, a chemical that we would want to look at,
3 because it would seem not just in vitro, but also in
4 several in vivo studies.

5 So there obviously needs to be more work looking
6 at that -- you know, those endocrine effects per se in lab
7 animals and so forth. But it's enough to put it into a
8 category where I'm not sure. You know, I'm not sure if
9 we're going to want to designate it as a high priority for
10 the Program, but I think we should put it into a category
11 where it's a designated chemical, which I consider to be
12 almost like, you know, the watchlist. The ones that we
13 will look at if we have opportunities or if it can be
14 bundled with other phenols in a, you know, laboratory
15 analysis.

16 ACTING CHAIRPERSON LUDERER: Are there any
17 additional comments from Panel members, any discussion?

18 Dr. Bradman.

19 PANEL MEMBER BRADMAN: Well, just a question.
20 It's really discussion and it's not really specific to
21 biomonitoring. But, you know, one concern I have about
22 antibacterials is that they may provide potentially,
23 although we're not clear it's true for this compound that
24 there's, a public health benefit, in terms of maybe
25 reducing skin bacteria, but there's also these materials

1 may breed, you know, super bugs like MRSA. And when we
2 think of the risks associated with them, we maybe need to
3 think more broadly than just the immediate toxicological
4 effects.

5 And since these things are used on a widespread
6 basis, it's important to understand, you know, how large a
7 portion of the population is using them, and what the
8 exposures are, and then we also need to think more broadly
9 about what the public health implications are.

10 ACTING CHAIRPERSON LUDERER: Any additional
11 comments from Panel members?

12 Then I think -- Dr. Wilson.

13 PANEL MEMBER WILSON: I'm sorry. Yeah, Mike
14 Wilson. You know, obviously from my line of questioning
15 to the industry representatives, I was, you know, very
16 interested in knowing what is the emerging antibacterial
17 that's in these products, in the liquid products. But I
18 think it makes sense again here to designate this
19 substance for the Program, in that it's -- the
20 population-wide exposure is likely in the millions, you
21 know, in California alone. And there's enough information
22 to be of concern here.

23 And I think we're going to -- hopefully, we're
24 going to get more information on chemical ingredient and
25 product ingredient disclosure in California, and on the

1 use and distribution of chemicals and chemical products in
2 the State as the Green Chemistry Initiative rolls along
3 and that process sort of comes into effect.

4 And so I think it makes sense to designate it at
5 this point for that reason.

6 ACTING CHAIRPERSON LUDERER: Dr. Solomon.

7 PANEL MEMBER SOLOMON: Sorry, just one more
8 addition. I think that the information from industry is
9 very useful. And the additional information that we've
10 requested today would also be helpful, in terms of
11 figuring out if this is or isn't something that we would
12 want to prioritize, because I think that there -- you
13 know, there certainly is a question about that and some
14 more information that we would need, if we were going to
15 decide to really put, you know, a lot of resources behind
16 making this a high priority for the program.

17 So I would say that that information would still
18 be something that I would want to see. And so I'm hoping
19 that we can gather that.

20 ACTING CHAIRPERSON LUDERER: All right, thank
21 you.

22 Then if there's no further discussion from the
23 Panel, we have a motion and a second that the Panel
24 recommends designation of triclocarban. So we can, at
25 this point, take a vote.

1 So I'd like to start with Dr. Quint?

2 PANEL MEMBER QUINT: Yes.

3 PANEL MEMBER SOLOMON: This is Gina Solomon.
4 Yes.

5 PANEL MEMBER WILSON: Mike Wilson. Yes.

6 ACTING CHAIRPERSON LUDERER: Ulrike Luderer.
7 Yes.

8 PANEL MEMBER KAVANAUGH-LYNCH: Mel
9 Kavanaugh-Lynch. Yes.

10 PANEL MEMBER CULVER: Dwight Culver. Yes.

11 PANEL MEMBER BRADMAN: Asa Bradman. Yes.

12 ACTING CHAIRPERSON LUDERER: Okay. We have a
13 unanimous vote from the Scientific Guidance Panel
14 recommending designation of triclocarban.

15 At this point, we will now take our lunch break.
16 We were scheduled for a one-hour lunch. Is that still --
17 we're about a half hour behind at this point.

18 MS. HOOVER: I think it would be great if we
19 could maybe try to get back in 45 minutes instead. I know
20 a half hour is always too short. Also, to let people know
21 there's a cafeteria now on the second floor very close by.
22 There's also a couple of lunch places directly behind
23 where you can get sandwiches and salads.

24 So you can make it a quicker lunch break than we
25 normally do. That would be great. So can we say 1:45

1 then to start back.

2 ACTING CHAIRPERSON LUDERER: All right, we'll
3 reconvene at 1:45.

4 (Thereupon a lunch break was taken.)

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1 and expect to be doing in the future, which gives an
2 opportunity for the public to kind of engage with us and
3 make sure we're on the right track.

4 --o0o--

5 MS. DUNN: This is an outline of what I'm going
6 to cover today. First, I'd like to provide some
7 background on the direction from the legislation with
8 regard to involving the public in the Program.

9 I'll also briefly describe some current and
10 previous activities intended to involve the public in the
11 program. Then I'll go through the structure of the draft
12 plan and give some examples of the types of activities
13 we'll be carrying out to achieve the goals that we've
14 developed. Finally, I'll go through a timeline of the
15 plan's development from today forward.

16 I want to emphasize that the purpose of providing
17 the overview today is to begin a process of dialogue on
18 the plan and that the plan is a draft. We welcome your
19 suggestions and ideas on all aspects of the plan. I'd
20 also like to just mention that the name of the plan, the
21 Public Integration Plan, was developed a couple of years
22 ago when we first started down this path. And our
23 intention was to convey that the public would be brought
24 into all aspects of the Program's activities. And it's
25 already been called to my attention that the term might be

1 misunderstood by some people. And we really have complete
2 openness to having the best possible name for the plan.
3 So we welcome your comments on that.

4 --o0o--

5 MS. DUNN: I'd like to start by providing some
6 context for the plan's development. The enabling
7 legislation, Senate Bill 1379, directs the Program to use
8 the principles of CalEPA's Environmental Justice Strategy
9 and Action Plan to guide our activities.

10 The legislation also directs us to provide
11 opportunities for community capacity building and
12 meaningful stakeholder input.

13 In carrying out all Program activities, we are
14 directed to accord the highest respect and value to every
15 individual and community, and to promote equity and afford
16 fair treatment, accessibility and protection to all
17 Californians, regardless of race, age, culture, income, or
18 geographic location.

19 The sections of the legislation related to
20 Environmental Justice and to involving the public in the
21 Program are in Appendix 1 of the overview document, which
22 is in your packets and is in the back of the room.

23 --o0o--

24 MS. DUNN: The legislation mandates that the
25 Program create a framework for integrating public

1 participation into the Program. As part of this, we're
2 directed to develop a plan and strategy for bringing the
3 public into the Program. The draft plan we're discussing
4 is intended to address this mandate. We are to provide
5 materials and carry out activities that are culturally
6 appropriate. Our reports on the findings of our
7 biomonitoring efforts are to be made in a manner
8 understandable to the average person.

9 We're directed to provide individual results to
10 participants if they request them.

11 --o0o--

12 MS. DUNN: As the Panel is aware, from the
13 beginning of the Program, we've made an effort to engage
14 the public. For example, we have tried to encourage
15 participation in Panel meetings by webcasting whenever
16 possible, and providing the opportunity for remote viewers
17 to comment during the meeting, as we're doing today.
18 We've also made sure that there's time for public comment
19 on each agenda item at each meeting.

20 Early on, we carried out a campaign to encourage
21 public input on chemical selection for the Program and to
22 build awareness.

23 This included three workshops -- this included
24 workshops held in three locations around the State. Three
25 teleconferences and an on-line survey. The survey was

1 focused on chemical selection issues.

2 We've also built a website and made efforts to
3 encourage people to subscribe to our listserv. The
4 listserv now has approximately 600 subscribers. As the
5 Program has begun to carry out pilot projects, these have
6 included efforts to engage local communities in the
7 projects.

8 --o0o--

9 MS. DUNN: Drawing from the directives of the
10 legislation, Program staff developed four goals for our
11 efforts to involve the public in the Program.

12 The first two goals relate to the public at
13 large, as well as to specific groups, such as study
14 participants. The first goal is to build awareness and
15 understanding of the Program by making information
16 available and accessible in a timely and understandable
17 way.

18 The second goal is to provide opportunities for
19 stakeholders to contribute to program design,
20 implementation, and evaluation.

21 The third and fourth goals relate to
22 biomonitoring projects, including the pilot efforts, as
23 well as eventually our statewide sampling efforts. The
24 third goal of involving the public in the program is to
25 achieve high participation rates within the target

1 population to be biomonitored, that is, to successfully
2 recruit participants. CDPH, with its extensive experience
3 recruiting participants, has led development of this goal
4 and related activities.

5 The fourth goal is to communicate individual
6 results and resources related to understanding those
7 results in a manner that is understandable, supportive,
8 and responsive to Program participant's concerns.

9 --o0o--

10 MS. DUNN: This diagram represents the elements
11 of the plan. Here at the top is the direction from the
12 legislation, as I've already described, that feeds into
13 the four goals that we've developed. What's below the
14 goals on the diagram are the activities that the Program
15 is carrying out or will be carrying out to achieve these
16 goals. Underlying all of these activities are core
17 principles of public engagement.

18 --o0o--

19 MS. DUNN: These core principles for public
20 engagement were developed by the National Coalition for
21 Dialogue and Deliberation in collaboration with the
22 International Association of Public Participation and
23 others. A more detailed version of these core principles
24 are found in Appendix 2 of the overview document.

25 Briefly, these seven principles reflect common

1 develop an on-line survey to assess the needs of Program
2 stakeholders. We intend to then develop materials in
3 response to the results of the survey, such as the kinds
4 of information that people need from the Program.

5 We're also planning to move forward with
6 modifications of the website to improve access to
7 information.

8 As Dr. Das mentioned earlier, we were fortunate
9 to have Health Research for Action at UC Berkeley review
10 our website and provide recommendations for how it can be
11 improved. We are already beginning to implement those
12 recommendations and plan to do more so in the future.

13 --o0o--

14 MS. DUNN: For the second goal, providing
15 opportunities for stakeholders to contribute to Program
16 design and implementation, we will continue and expand our
17 outreach to groups with potential interest in
18 biomonitoring, inviting them to join the listserv and
19 become involved in Program activities.

20 We also intend to continue holding meetings in
21 venues such as this one that have good public transit, and
22 then webcasting to the extent possible in any venue where
23 we have public meetings.

24 We're hoping to add an on-line comment form to
25 the website, to allow those who visit the site to give us

1 feedback on what they find there as well as what they'd
2 like to see in the future.

3 This comment form would allow feedback in an
4 ongoing way, not only on the website content, but also on
5 all Program activities.

6 --o0o--

7 MS. DUNN: As I mentioned earlier, goal three
8 addresses activities related to recruiting participants
9 for biomonitoring -- for biomonitoring. Activities
10 carried out primarily by CDPH staff.

11 The goal is to achieve high participation rates
12 within the target population. The type of activities this
13 involves, includes partnering with individuals and groups,
14 who are trusted by the community such as health care
15 providers and clinics, to have their input on how to
16 approach potential participants.

17 Involving potential or actual participants and
18 other community members in field tests of materials, such
19 as focus groups or interviews is another type of activity
20 intended to achieve this goal.

21 In the presentation that follows this one, on the
22 FOX Project, you'll see an example of this type of field
23 testing.

24 Initially, these activities are being carried out
25 as part of the pilot projects, with the lessons from these

1 efforts expected to inform future activities carried out
2 on a larger scale.

3 --o0o--

4 MS. DUNN: The fourth goal is to communicate
5 individual results in a manner that is understandable,
6 supportive, and responsive to Program participant's
7 concerns. Current efforts include testing the
8 effectiveness of specific approaches in the pilot studies.
9 As was mentioned earlier, we're fortunate to have the
10 assistance of Rachel Morello-Frosch in some of these
11 activities. These efforts in the pilot projects will then
12 be assessed to inform our future efforts.

13 We also anticipate interviewing staff of other
14 biomonitoring efforts to learn from their approaches.
15 We'll draw on what we learn from these interviews and
16 pilot studies to develop guidance on best practices for
17 the Program as it moves forward.

18 --o0o--

19 MS. DUNN: Here's a diagram of the projected
20 timeline of plan development. We're here today on the
21 left-hand side of the screen in the green box to discuss
22 an overview of the plan and initiate a dialogue, as I
23 mentioned earlier.

24 From here we intend to release a draft plan later
25 this summer, that takes into consideration feedback we get

1 today, and in the next few weeks on the concepts provided
2 in the overview. The draft plan will be sent by Email to
3 the Panel and to those on our Program listserv.

4 We'll also post the plan on our website. We also
5 intend to carry out active methods of engaging with our
6 stakeholders, such as public teleconferences or individual
7 interviews. These methods have not yet been determined
8 and we welcome your suggestions.

9 We'll then bring the draft plan together with the
10 comments and suggestions we've gathered to that point to
11 the Panel's fall meeting, shown in the purple box, where
12 there will be an opportunity for additional discussion and
13 comment on the draft. We then anticipate finalizing the
14 plan and posting it on our website toward the end of this
15 year.

16 --o0o--

17 MS. DUNN: So finally, we're here -- I'm here
18 today to hear your comments and suggestions on what's in
19 the plan overview, including general input on the draft
20 plan and its development, the name of the plan, as well as
21 specific suggestions related to the questions listed on
22 this slide.

23 These include comments on the aspects of our
24 efforts to involve the public in the Program that should
25 be priorities; thoughts on effective methods for

1 increasing the number and diversity of Program
2 stakeholders, ideas about what actions may work best for
3 achieving high participation rates in biomonitoring
4 studies; as well as your suggestions of individuals or
5 organizations to interview for insight into effective
6 communication of biomonitoring results.

7 We welcome comments at the meeting. However,
8 given the brief time available for today's discussion, I
9 would also like to encourage those interested here in the
10 room and listening on the web to send us your thoughts and
11 suggestions after the meeting via our Email address.

12 So thank you very much for your attention. And
13 now I'd like to try to answer any questions you have and
14 hear your comments.

15 ACTING CHAIRPERSON LUDERER: Thank you very much
16 for giving us that interesting overview of the draft plan.

17 Do the Panel members have any questions or
18 comments at this time?

19 Dr. Quint.

20 PANEL MEMBER QUINT: Thanks, Amy. This is Julia
21 Quint. That was very thorough and looks very promising.

22 I noticed that from the beginning when we had
23 meetings to get public input, that there -- we had
24 a -- the attendance -- we were much more diverse in terms
25 of the people who attended the meetings. And there has

1 been a fall off of that, in terms of, you know, public
2 attendees at the meetings. And I'm wondering if, two
3 things, whether or not, in addition to the on-line survey
4 to get feedback, whether or not we could tap into some of
5 those early participants who represented, I think, some EJ
6 groups and other groups, and find out more specifically
7 from them -- maybe, you know, they're no longer
8 interested, but maybe we could use that as some source of
9 information to find out what we could be doing a bit
10 differently to engage them or to find out, you know, why
11 there's no longer participation.

12 It's just, to me, noticeable that we don't have
13 attendance, at least at some of these local meetings, of
14 some of the people who attended in the beginning.

15 And I think the other thing is that Amy Kyle's
16 group over at UC Berkeley did a very great workshop on
17 cumulative impacts. And it was -- it engaged a lot of
18 people from Environmental Justice groups and things like
19 that. And I think she would be a good person to talk to.
20 Rachel Morello-Frosch was there. But the people who
21 attended that meeting were very much leaders, in terms of
22 their communities and struggling with some of these health
23 issues.

24 So I think it would be good to reach out to
25 people like that to find out other ways to get feedback.

1 MS. DUNN: Thank you.

2 ACTING CHAIRPERSON LUDERER: Dr. Culver.

3 PANEL MEMBER CULVER: I think you've done a
4 wonderful job of putting together -- you have to remind
5 about that.

6 (Laughter.)

7 PANEL MEMBER CULVER: I think you've done a
8 wonderful job in putting together a plan. And plans of
9 this sort can really be only a framework or they can
10 represent a real major endeavor and accomplishment.

11 Part of the degree to which that's implemented
12 will depend upon the resources you have to do so. Do you
13 have enough resources to do what you want to do?

14 And then I have another question.

15 MS. DUNN: Well, you know, I think there's always
16 more that you could do than -- I mean, all right, I guess
17 speaking for myself, there's always more that I want to do
18 than I can do. But I think, as someone mentioned earlier,
19 I think we've been trying to be creative and resourceful
20 with the resources that we do have.

21 And I think it might be possible if we modify the
22 website to start getting a little more engagement with
23 people. We're hoping that that's true, because the
24 in-person workshops are very resource intensive. And so,
25 you know, I think if we had more resources, we might be

1 able to do a little more in-person outreach than we have
2 the resources for.

3 PANEL MEMBER CULVER: It's either a question or a
4 comment. The fourth slide that you showed had, as one of
5 its last points, something that kind of struck a note that
6 concerned me. And I'm sure it wasn't intended that it
7 would concern me, and that was provide results to
8 individual participants, if they requested.

9 You know, that's like saying well, if you want
10 the truth, I'll tell you.

11 (Laughter.)

12 PANEL MEMBER CULVER: I think every effort needs
13 to be made to make every participant understand what's
14 going on, and understand their need to ask for those
15 results and to understand those results.

16 I don't know whether the wording could be made a
17 little bit differently, so that it doesn't have quite the
18 connotation that it does, but I suggest that that effort
19 be made to do so.

20 MS. DUNN: Thank you. Yes, it's, I think,
21 probably a result of trying to take what's in the
22 legislation and crunch it down in just a few words to be
23 on a side, but I understand your meaning.

24 PANEL MEMBER CULVER: No, I'm sure it's like
25 that.

1 ACTING CHAIRPERSON LUDERER: Dr. Wilson.

2 Dr. Das.

3 DR. DAS: Dr. Das, Department of Public Health.

4 I do want to just add something to what Amy said about the
5 fourth bullet. The legislation does require us to return
6 results to participants if they request them. And all of
7 our projects do also go through the Institutional Review
8 Boards. And that is the language that we have in the
9 protocols that go to them that we certainly would like
10 people to get their results. But understanding that these
11 results may be concerning to individual, we give them the
12 option of choosing to receive their results or not.

13 Other studies conducted by other researchers,
14 such as Dr. Morello-Frosch, have shown that most people do
15 want their results. And so I think we're anticipating
16 that most people will elect to receive them, but I think
17 the language here reflects both the legislation and our
18 sensitivity to people who may choose not to get their
19 results. But we certainly understand your intent in
20 letting people know the results in full disclosure of the
21 information.

22 ACTING CHAIRPERSON LUDERER: Dr. Wilson.

23 PANEL MEMBER WILSON: Mike Wilson.

24 You really, you know, describe this challenge of
25 how do we get the word out to Californians, and involve

1 the public. And I guess, you know, as Dr. Quint is
2 saying, in a way that represents the whole, you know, sort
3 of spectrum of California residents. And one suggestion
4 would be through the California labor movement, that, you
5 know, represents about 20 percent of working Californians,
6 that are certainly a cross section, economic racially,
7 ethnically and so forth of the State.

8 And, you know, the labor movement has a whole set
9 of challenges that it's facing, and it's hard to know
10 where this would land, in terms of priorities. But our
11 experience, both through the labor occupational health
12 program on campus and working with the Labor Institute in
13 New York, has been that this issue of chemical
14 contaminants appearing in umbilical cord blood is a very
15 live issue among -- in the workshops that we've run that
16 were intended to be workshops on sort of how do we
17 integrate the concepts of green chemistry and source
18 reduction in an occupational setting as a way to protect
19 worker health and safety.

20 And at the end of those workshops, we conduct
21 survey -- you know, a survey. And it turns out that the
22 issue, almost hands down, among the participants,
23 including among, you know, refinery workers in West Contra
24 Costa county and so forth, was that appearance of, you
25 know, the Environmental Working Group's findings of

1 industrial chemicals in umbilical cord blood as a labor
2 issue and an occupational exposure issue.

3 And so there are, you know, ways to engage the
4 labor movement. But I think it makes sense if we're -- if
5 that's what, you know, we're trying to achieve a
6 cross-section of the population and a group that could
7 benefit from this. And also just recently this year, the
8 U. S. sort of national labor movement has made chemicals
9 policy reform one of its other key sustainability
10 initiatives alongside climate change and so forth. So
11 there's a potential of some opening there for that to
12 happen in California.

13 MS. DUNN: Great, thank you.

14 ACTING CHAIRPERSON LUDERER: Are there other
15 questions or comments from Panel members?

16 Okay. Perhaps then we can move to public
17 comments. It looks we have one public comment, and this
18 is from someone who's here.

19 Mr. Baltz.

20 PANEL MEMBER WILSON: He's up there too on the
21 slide.

22 (Laughter.)

23 MR. BALTZ: Davis Baltz with Commonweal.

24 I'd first like to thank Amy for the presentation.
25 And I think throughout the Program's history staff have

1 been welcoming of the public and have really created a lot
2 of opportunities for people to come and participate. And
3 so I want to just express my appreciation of that.

4 At the same time, I think what Julia Quint
5 mentioned about the numbers of people and the kinds of
6 people who are coming to comment having dropped off, it's
7 clear that that has happened also. But I think that
8 there's an explanation for that, that doesn't reflect
9 badly on the Program at all.

10 And that is that in the first years of this
11 program, because of the funding shortfall, it's been
12 necessary to focus on getting the laboratory equipment in
13 hand with the initial funding that's been raised, so that
14 the actual testing and biomonitoring can take place. And
15 thanks to the efforts of the program and the CDC
16 cooperative agreement, now we see some very significant
17 equipment acquisitions.

18 And the Program is ramping up. And as we've seen
19 in the staff presentation earlier, we now actually have
20 some data that are coming down the pike with the MIEEP
21 program, and the new firefighters project, which we'll
22 learn more about in the next presentation.

23 So I think that there are a cadre of both
24 organizations and individuals who, while they may not be
25 coming to these meetings, they're actually very interested

1 in what is happening with the California Biomonitoring
2 Program and they are ready to be activated at the
3 appropriate moment.

4 And maybe with some of these data becoming
5 available some time soon, I mean, I certainly feel that's
6 an opportunity on my end to, you know, reach out and
7 contact some of these groups again and get them to come
8 and provide input.

9 I think it would behoove the Program obviously to
10 have more public participation, if you have sort of
11 residents of California who can serve as ambassadors for
12 the value that the Program brings to California, then of
13 course that can translate into political support in
14 Sacramento, which can hopefully lead to increased funding,
15 and we can sort of -- I don't think we can necessarily
16 call it a snowball effect, but we can create some
17 synergies to call more attention to the Program and its
18 value.

19 And then maybe, at some point, when the State's
20 finances improve, we can actually get some more base
21 funding and not have to rely exclusively on the efforts of
22 the Program staff to go outside of California to find the
23 Program funding to keep things rolling.

24 We all recall that a couple of years ago there
25 was actually an effort that didn't come to fruition to

1 biomonitor a number of Californians who represented
2 different interest groups who'd be interested in
3 biomonitoring. And for a number of reasons that didn't
4 come to fruition, but you will recall that there were
5 about a dozen people who eagerly signed up and were sort
6 of thought leaders in their field. So this again is
7 another example of how I think there are groups out there
8 who are ready to support this Program and will step
9 forward and start to participate in some of the public
10 outreach activities when there are things that are
11 actually relevant and timely for them.

12 I'd like to echo Mike Wilson's point about the
13 labor movement, biomonitoring having relevance for them
14 and the umbilical cord study blood, that the Environmental
15 Working Group did, which is a few years old now, still
16 generates a lot of interest. And the fact that the MIEEP
17 program is going to biomonitor cord blood, I think is
18 another real opportunity, not only to reach out to the
19 labor movement, but anyone who's paying attention will see
20 the value and the relevance of biomonitoring cord blood.

21 Of course, we have parent's groups, school
22 groups, mom's groups, you know, all Californians will be
23 concerned about this and interested in it. And I think
24 that can be a real stepping stone to elevate the profile
25 of the Program.

1 So, from my point of view, I look forward to
2 seeing the plan that Amy has laid out, take some more
3 concrete steps and am happy to be of service in any way
4 that I can.

5 Thanks.

6 ACTING CHAIRPERSON LUDERER: Thank you very much
7 for those comments

8 MS. DUNN: We have another public comment.

9 ACTING CHAIRPERSON LUDERER: We do? Okay, great.

10 DR. BOGEN: Hi. My name is Ken Bogen from
11 Exponent, a company with an office right nearby, a few
12 blocks away in Oakland. We represent various clients.
13 One of mine currently is a manufacturer of pyrethroids.
14 Another of mine is upstairs, the Department of Justice,
15 who had a concern about phthalates in children's products.
16 And so I've been involved in many different sides.

17 I had a question that I didn't see addressed,
18 which is what governs access to the data by
19 epidemiologists, in terms of how data on individual
20 participants is -- will be used in the future to
21 investigate potential correlations with health endpoints?

22 And in that context, what efforts are going to be
23 made to address the issue that as more and more of such
24 investigations are done on this particular data set that's
25 accumulating, you would expect inevitably to find

1 statistically significant associations, simply by virtue
2 of the number of those that are done, unless you adjust
3 appropriately for the number of such investigations that
4 are done, which can only be done if you monitor the total
5 number of investigations using that single data set as it
6 evolves, which is something that only the group amassing
7 the data can really do, so they can provide to other
8 investigators what the total number of investigations done
9 so far now is, so they can appropriately adjust their
10 analyses accordingly.

11 That was my question.

12 Thanks.

13 ACTING CHAIRPERSON LUDERER: Thank you. Would
14 one of the Program -- Dr. Das would like to comment on
15 that or respond to that.

16 DR. DAS: Yeah. This is Rupa Das from the
17 California Department of Public Health.

18 Regarding the access to specimens, currently, the
19 specimens are collected with the intent of analyzing for
20 the chemicals that we present to you. With a clause in
21 the consent form for those projects where we're actually
22 actively collecting specimens, there's a clause in which
23 participants can opt in asking them whether they consent
24 to having their left-over specimens stored for
25 subsequent -- for storage, if they consent to have it

1 stored for analyses in the future.

2 So the specimens that are collected now will only
3 be used to look at the levels of chemicals that are
4 specified and we present to you.

5 We are not currently planning to look at health
6 effects. So the question could be interpreted very
7 broadly to say are we -- down the road, if these
8 participants have certain health effects, will they be --
9 will these results be analyzed together with those health
10 effects. We, as a Program, are not planning to do that.
11 Is it possible that researchers in the future would do
12 that?

13 That is not our plan, at this point. Any
14 specimens that are stored will be deidentified, and access
15 to those specimens will -- our plan is to not have them
16 associated with individual identifiers at this point.

17 As far as keeping track of the numbers of
18 specimens and numbers of analytes and making sure that we
19 don't have associations just based on statistical
20 probability alone, those are probably factors we'll have
21 to address in the future. But at this point, we're not
22 looking at health effects. We're only looking at the
23 analytes.

24 So perhaps I could clarify that further, if that
25 doesn't address it.

1 ACTING CHAIRPERSON LUDERER: Was there another --
2 thank you very much. Diana, did you have a comment?

3 MS. LEE: Only in the context that a number of
4 our current investigations that we're carrying on are
5 being done in collaboration with other researchers. So to
6 the extent that they choose to look at other data, that's
7 certainly discussed. But right now we're not making it a
8 priority as part of the Program to collect that routinely.

9 So we do -- we're certainly going to be doing,
10 what we call our investigations are really exposure
11 investigations. And we are trying to collect some data
12 about potential sources of exposure and so on. We're not
13 emphasizing the health output end of it at all.

14 And so if a collaborator chooses to do that, they
15 are proposing to look at certain data that they have
16 access to through say their own personal -- their medical
17 records that they have access to, for instance, if we're
18 working with a specific provider. And that data would not
19 be necessarily collapsed with other data that we would be
20 routinely collecting. So hopefully that clarifies it.

21 ACTING CHAIRPERSON LUDERER: Another comment?

22 Yes.

23 DR. KAUFMAN: This issue was raised early on
24 when -- I sorry, Farla Kaufman, Office of Environmental
25 Health Hazard Assessment.

1 So we had discussions about this early on, when
2 the statewide survey was an option. And certainly there
3 are -- there were lots of ideas about how to make the data
4 available to collaborators, because it's going to be such
5 a rich data set. So this is definitely intent of the
6 Program. And we sort of step back from it, in this
7 instance, when we are focused on getting community studies
8 up and running. But that definitely is an idea of -- we
9 want to make it available. We want the data analyzed as
10 much as possible.

11 The issues that Dr. Das addressed, certainly the
12 multiple comparisons and the prospective findings,
13 significant findings just spuriously is a possibility.
14 But the health -- that's usually an issue for health
15 effects. I don't think NHANES has any provision when they
16 make their data available to say, oh, well, there's like
17 80 different groups analyzing this data, what do we do for
18 multiple comparisons. I don't think they do that, because
19 most of the time, again, it's not health outcomes. It's
20 more looking at correlations.

21 But we will address these in the future. These
22 questions are very good. And there will be a plan put in
23 place for how to facilitate collaborations on the data and
24 having other researchers look at it.

25 ACTING CHAIRPERSON LUDERER: Thank you.

1 Do we have other questions, comments from Panel
2 members?

3 Are there additional questions that Program staff
4 would like us to answer as a Panel or things that we
5 haven't addressed on this topic, before we move on?

6 MS. DUNN: I could put the questions back up.

7 ACTING CHAIRPERSON LUDERER: Yeah, that would be
8 helpful, I think.

9 MS. DUNN: Oh, actually, I have them. So I guess
10 the screen just needs to be switched. So I guess there
11 maybe -- I don't know if you talked -- I'm not sure if the
12 Panel has talked about the third and fourth items at all.
13 That would be great if there are ideas on those.

14 ACTING CHAIRPERSON LUDERER: Do any Panel members
15 have any specific suggestions for the third and fourth
16 items, ideas about actions that may work best for
17 achieving high participation rates and individuals or
18 organizations to interview for insight into effective
19 communication of biomonitoring results.

20 Dr. Quint.

21 PANEL MEMBER QUINT: I think part of the answer
22 or part of what my suggestion was for getting more
23 participation also applies to that question. I think, you
24 know, Amy Kyle is a person who convened the cumulative
25 impacts workshop, had a lot of people at that workshop who

1 are working with community groups who are very -- already
2 have a high interest, in, you know, health outcome
3 studies -- not health outcome, but contamination issues in
4 communities and things like that. So I think that would
5 also apply to this. I mean, it's difficult to engage
6 people, in terms of participating in biomonitoring studies
7 when we -- you know, you can overlay that, because we're
8 not sure which studies we have the resources to do at this
9 point, it seems to me.

10 I mean, you know, we have limited funding. The
11 studies we are doing are really sort of piggybacking off
12 of other group's studies or we've gone out and -- or
13 somebody has gone out and gotten foundation funding or
14 something like that for the study.

15 So I think one thing is you don't want to
16 promise -- you know, hold a false promise to people that,
17 you know, you can actually want -- you know, to get them
18 interested in participating in a study that may not, you
19 know, be implemented. So we -- it's hard for me to sort
20 of weigh those two issues. I think participating, as
21 Davis said, so that they know what is going on and what
22 the potential for this Program can be, and being advocates
23 for this or ambassadors for the Program is one thing.

24 But we have to be really careful, I think, at
25 this point, because we're not able to do a lot of

1 individual studies. And a lot of people from the EJ
2 community have always wanted studies, have always wanted
3 biomonitoring studies. And I think they would be more
4 than willing to participate in those studies, but I'm not
5 sure that they would be focused on the analytes that we
6 have prioritized or whether or not, you know, we have the
7 resources to do them.

8 But I think actually involving community people
9 who are involved in their communities in a leadership role
10 like Margaret Gordon. And the Environmental Health
11 Tracking Program has on their advisory committee a number
12 of people who are active -- you know, they have --
13 community people who are active in their communities. I
14 think that would be another source of individuals that you
15 could talk to, in terms of these issues. But I think we
16 just need to use some caution.

17 ACTING CHAIRPERSON LUDERER: Dr. Culver.

18 PANEL MEMBER CULVER: I got the switch on this
19 time.

20 (Laughter.)

21 PANEL MEMBER CULVER: I heard the word
22 "deidentification" someplace along the presentation of
23 this Program. And I think one should be very concerned
24 about throwing away the identity to the samples that you
25 collect. I think one of the major goals in the Program is

1 to look at change in the population over time.

2 If you don't have the samples available to you in
3 some kind of a biorepository, you're not going to be able
4 to follow that, especially as new analytical methods come
5 available.

6 So I hope that although we are not collecting
7 health information, that we are retaining the
8 identification of the samples for that time.

9 ACTING CHAIRPERSON LUDERER: Dr. Das.

10 DR. DAS: Rupa Das, California Department of
11 Public Health. I should clarify my statement. The
12 samples when they are stored in whatever form they're
13 stored in will not have personally identifying information
14 on the sample itself. There will be a number associated
15 with the sample. A sample will be able to be identified
16 by linking it to another database.

17 But in terms of -- I was addressing in terms of
18 sharing it with other researchers, we would probably not
19 share the identity of the samples, but that is something
20 in the future. It's not something we've specifically
21 spoken about. I was referring specifically to when the
22 physical samples are stored, they are not stored with the
23 actual -- personal identifying information of the
24 individual from whom it was collected.

25 PANEL MEMBER CULVER: But you're going to code

1 them in some fashion, and you'll have a key, so that you
2 can go back and identify where the samples came from and
3 who they came from or at least what the attributes of that
4 individual were --

5 DR. DAS: Yes, that's correct. We will be able
6 to -- we'll have the key accessible to us.

7 PANEL MEMBER CULVER: Okay, good.

8 DR. BOGEN: Ken Bogen, Exponent. I was just
9 asking, unless people opt out to modify the statement.

10 DR. DAS: So people can opt out of a number -- a
11 couple of different components. They can opt out of
12 having their extra samples stored. And they can opt out
13 of having individual results returned to them.

14 If they don't have samples stored, then it
15 would -- we will destroy the samples, so there will no
16 need to then link it with identifying information.

17 ACTING CHAIRPERSON LUDERER: Dr. She.

18 DR. SHE: I want to follow Dr. Culver's and Dr.
19 Das's question. Basically, sample information we'll log
20 into the LIMS, and then LIMS have sample information,
21 physical sample stored in our freezer farm. We intend to
22 have the biorepository built up, so this -- all of the
23 information in the LIMS with certain client information,
24 demographic information. We will be linked with the
25 relation database eventually, so people can still find out

1 what sample we have. So we do like to have the
2 biorepository features built up.

3 ACTING CHAIRPERSON LUDERER: Dr. Wilson.

4 PANEL MEMBER WILSON: Well, I just wanted to
5 weigh in with Dr. Culver's point. And I think I
6 understand how it's working now. And my question was
7 that, as long as they're not opting out, the default is
8 that those samples will have identifiers and they will be
9 stored. And that's -- and the human subjects protocol
10 reflects that.

11 DR. DAS: Rupa Das, California Department of
12 Public Health. It's an opt in. They have to opt in. The
13 default is to not have the samples stored.

14 PANEL MEMBER WILSON: I see. And that's to opt
15 in -- and then that's --

16 DR. DAS: Opt in to have the samples stored, the
17 extra samples.

18 PANEL MEMBER WILSON: Okay. And that's in the
19 consent process?

20 DR. DAS: That is in the consent form, yes.

21 PANEL MEMBER WILSON: Okay.

22 DR. DAS: And what I'm saying applies to those
23 projects where we're actively collecting samples, not
24 to -- a separate issue for collaborations with researchers
25 who have already collected samples.

1 And I also want to add again to Dr. She's
2 statement about the linking of the information, the number
3 that's going to be on the physical sample and the
4 personally identifying information. There will be a link,
5 but only a few people will have access to that link. I
6 mean, our goal is to really protect the identity of the
7 individual. So there will be a way to link that
8 information, but not everybody on the project will have
9 the ability to do that.

10 PANEL MEMBER WILSON: Thank you.

11 ACTING CHAIRPERSON LUDERER: Dr. Bradman.

12 PANEL MEMBER BRADMAN: Just a comment and a
13 challenge that we've experienced. I'm sure you will down
14 the road too, about the issue of returning results,
15 especially when you have banked samples that may be used
16 significantly far into the future for other analyses.

17 And people who opt in or intend -- want their
18 results back, but years down the road you may have new
19 results, hopefully you'll be able to reach them. And if
20 you can, you'll need a protocol to do that.

21 And then, of course, there's some people you may
22 not be able to reach. And that's just one of the
23 challenges. You know, in a cross-sectional analysis, it's
24 easy to report results back. But as time passes, and
25 those samples are still valuable, they may be used again,

1 it just raises some methodological issues that should be
2 on your radar.

3 DR. DAS: Yeah, that's a very good point. And
4 actually our CDPH Institutional Review Board brought up
5 that point as well, when they were reviewing the
6 maternal-infant study. And they urged us to return
7 results, if they were -- if certain analyses down the
8 road, after this initial phase, were found to be
9 significant, in terms of health outcomes, they encouraged
10 us to return results to individuals over a period of, say,
11 up to 10 years as an estimate.

12 And recognizing that people do move and
13 information changes, we have built that into the consent
14 form as well, and will be setting up a toll free line for
15 people to let us know of their change in contact
16 information. So as far as possible, we'll be trying to
17 maintain that information to return results, recognizing
18 that we may lose some people or some people may not let us
19 know when they do move.

20 ACTING CHAIRPERSON LUDERER: Okay. And any
21 additional questions or comments from Panel members?

22 Okay, then I think it's probably time to move on
23 to the next agenda item, which is going to be a discussion
24 of the Firefighter Occupational Exposure Project. So I'd
25 like to again introduce Dr. Rupali Das, Chief of the

1 Exposure Assessment Section of the Environmental Health
2 Investigations Branch at the California Department of
3 Public Health and lead of the California Biomonitoring
4 Program.

5 Dr. Das.

6 (Thereupon an overhead presentation was
7 Presented as follows.)

8 DR. DAS: Thank you, Dr. Luderer.

9 As I explained briefly this morning, the
10 Firefighter Occupational Exposure Project is a new one
11 that's being presented to this panel. One of our staff
12 members, Robin Christensen, who's not here today, came up
13 with this great acronym that the firefighters love, FOX.

14 --o0o--

15 DR. DAS: Again, to reiterate that this came
16 about because we were interested in conducting a project
17 looking at exposures in a worker population, and we
18 identified firefighters as one population of workers
19 that's likely to have high exposures to chemicals and
20 might be a good population to study. And at the last
21 meeting, we were proposing to work with the Contra Costa
22 County Department of Public Health. That did not occur.
23 And at Dr. Luderer's suggestion we pursued a collaboration
24 with UC Irvine.

25 My presentation today we'll tell you briefly

1 about the current project status; the project design;
2 we'll describe briefly the questionnaires and other
3 materials that we'll be using in this project; and go over
4 the project timeline.

5 --o0o--

6 DR. DAS: So our collaborators on this project
7 are the University of California, Irvine Center for
8 Occupational and Environmental Health, where Dr. Leslie
9 Israel is the principal investigator. Dr. Israel is an
10 occupational environmental physician and runs the clinic
11 there that I'll be describing in one of the next slides.

12 In addition, we're collaborating with the Orange
13 County Fire Authority, which is the employer, the fire
14 department in Orange County. And specifically, we'll be
15 working with the Wellness and Fitness or WEFIT Program.
16 That is a part of the fire authority. The WEFIT Program
17 actually is an effort of the International Association of
18 Firefighters, but working specifically with Orange County.

19 The overall funding for the program is
20 approximately -- for this project is approximately \$75,000
21 split between two sources, the CDC cooperative agreement
22 for year two, which will start September 1 of this year,
23 as well as a State special fund.

24 --o0o--

25 DR. DAS: So this slide briefly describes the

1 wellness and fitness or WEFIT Program. As I said, it's a
2 component of the International Association of
3 Firefighters, and it has several different elements. A
4 wellness and fitness medical evaluation, which is
5 performed at UC Irvine, Center for Occupational and
6 Environmental Health. There are also peer fitness
7 trainers, a rehabilitation program, and health and fitness
8 education that's a part of this program.

9 At OCFA in Orange County, there's an oversight
10 committee for WEFIT, called the WEFIT Oversight Committee.
11 And that is a joint labor-management collaboration.

12 This particular oversight committee is very
13 progressive and has been great and supportive and very
14 easy to work with. And I'm told that their
15 labor-management relationships are particularly
16 harmonious. I guess this doesn't happen everywhere, but
17 in Orange County they have a very good relationship. And
18 I think that's been very helpful for us to get our project
19 moving.

20 We have support from both the union as well as
21 from the Fire Department. And we have a letter of support
22 jointly signed by the union president and the president of
23 the Fire Department.

24 --o0o--

25 DR. DAS: We have a liaison with the WEFIT

1 Program, a fire fighter Marty Driscoll, his role is to act
2 as a liaison between the oversight committee, WEFIT and
3 the clinic. And he has been very helpful to us in getting
4 this project moving forward.

5 --o0o--

6 DR. DAS: The aims of this project are to assess
7 the levels of approximately 40 chemicals in blood and
8 urine. And we hope to collect samples from up to 100
9 firefighters in Orange County. And in addition, the
10 unique aspect of this program is that we'll also measure a
11 subset of these chemicals in dust, so there will be an
12 environmental sampling component in three fire stations in
13 Orange County. And the three was -- the number three was
14 just chosen in terms of feasibility and resources.

15 --o0o--

16 DR. DAS: Our project aims are also to develop
17 and test protocols and procedures that might be applicable
18 to a larger study, perhaps in firefighters. And parts of
19 this might be applicable to other workers as well.

20 And the parts that we are hoping to test are the
21 recruitment and enrollment procedures, the exposure
22 assessment questionnaire, the process for collecting
23 processing, and shipping biospecimens, conducting
24 laboratory analyses, reporting results to participants,
25 assessing their response to receiving their results and

1 their understanding of those results, and looking at which
2 lessons might be applicable to other worker studies.

3 Some of these, as you will note, are common to
4 the maternal-infant study. And some are maybe a little
5 more applicable to worker populations.

6 --o0o--

7 DR. DAS: The list of chemicals that we're going
8 to analyze was a combination of what the labs can or will
9 soon be able to analyze, as well as those exposures that
10 we felt were of particular significance for firefighters
11 through occupational exposure not through home exposure.

12 And these include the brominated flame
13 retardants, as well as newer flame retardants, the
14 perfluorinated chemicals, polychlorinated biphenyls, and
15 the organochlorine pesticides Listed here.

16 --o0o--

17 DR. DAS: I'm sorry, I should clarify that this
18 list that I just read to you are the chemicals that will
19 be analyzed by DTSC's lab. In addition, the chemicals
20 that will be analyzed by the California Department of
21 Public Health labs include the metals, the pesticide
22 metabolites specific to organophosphates and pyrethroids,
23 and poly aromatic hydrocarbons.

24 --o0o--

25 DR. DAS: As I mentioned, the project will be

1 funded through two different methods. And on this slide,
2 you see two different colors, green and the purple. The
3 green parts of this project are funded through a special
4 fund, not biomonitoring funds, and the purple is funded
5 through the CDC cooperative agreement.

6 As part of this project, we'll be conducting
7 focus groups -- as soon as we get our approval from both
8 IRBs, we'll be conducting focus groups and individual
9 interviews to evaluate the project materials. And this
10 will be done with some firefighters in Orange County, who
11 may or may not subsequently be participants in the
12 biomonitoring part of the project.

13 We'll also test recruitment, informed consent,
14 and enrollment procedures. And we'll test the exposure
15 questionnaire in the focus groups as well as in the
16 firefighters when we conduct the biomonitoring study.

17 And as noted previously, we'll be collecting
18 blood and urine and testing the processing and shipping
19 processes.

20 --o0o--

21 DR. DAS: This slide shows both the environmental
22 sampling as well as subsequent phases of the project. The
23 walk-through of the fire stations with a checklist to look
24 for sources of exposure to the chemicals of interest, and
25 the fire station dust collection and analyses are funded

1 through our special fund. And the data analysis and
2 report generation will be funded through in-kind support.

3 The results report-back -- the testing of the
4 results report-back, that will primarily be done through
5 the focus groups, as well as an on-line survey where we'll
6 ask them what their understanding of the results is will
7 be funded through the special fund.

8 --o0o--

9 DR. DAS: This is a depiction of the project
10 similar to the one you saw for the maternal-infant study.
11 So moving from left to right, we'll be field testing the
12 study materials, as soon as we get IRB approval for this
13 project. Again, this will have to go through the two
14 IRBs, the UC Irvine as well as Department of Public
15 Health.

16 In addition, the field -- I'm sorry, the testing
17 of the biosample collection and handling will be done
18 early on. Actually, last week, a week ago tomorrow, we
19 collected samples in three fire stations. And I think the
20 collection process went fairly smoothly. No samples were
21 shipped to our labs here in northern California, where
22 they will be analyzed.

23 --o0o--

24 DR. DAS: The data collection process will occur
25 in a couple of different phases. The dust collection has

1 already occurred for this first phase. In addition, for
2 each fire house from which we draw participants, we'll ask
3 a firefighter to walk through that firehouse with a
4 checklist looking for exposure sources.

5 At the UC Irvine Center for Occupational and
6 Environmental Health Clinic, the firefighters will be
7 enrolled, and they will give their blood and urine
8 specimens, fill out the exposure questionnaire, an
9 evaluation questionnaire that asks them about the process
10 that they just went through, and we'll be abstracting
11 medical records.

12 So just to give you a little bit more detail on
13 that, we're going to be disseminating information about
14 the project through the WEFIT newsletters. And we're
15 going to post the study -- the flier in the firehouses.
16 The participants will be enrolled at the time of their
17 physical exam at the clinic. And that's where they'll
18 fill out the questionnaire and they'll give the blood and
19 urine samples.

20 As part of the WEFIT, they actually get blood
21 drawn and give urine specimens. But sometimes that
22 happens before they get to the clinic, so they might have
23 to have an extra stake to get the blood collected. There
24 are actually several different evaluation phases, as you
25 might be able to tell from what I've said. We'll be

1 asking them to evaluate their experience in participating
2 in this study right away at the clinic, as well as later
3 on down the road on the extreme right of this slide.

4 The results, just like the maternal-infant study
5 project, will occur -- will be returned in two different
6 phases. They'll receive results on metals and the
7 nonpersistent chemicals up to a year after they first
8 encounter this project. And they'll receive the rest of
9 the results, the persistent chemicals, up to two years
10 after they initially participate in the project.

11 And then finally after they received all their
12 results, we're going to ask them to fill out an on-line
13 survey trying to assess what they understand about their
14 results.

15 --o0o--

16 DR. DAS: So I've gone over this a little bit,
17 but just a little bit more detail. The focus groups and
18 interviews will occur in firefighters from the same fire
19 department, but they'll be drawn from a separate pool.
20 They may or may not subsequently participate in
21 biomonitoring. We're hoping to get that started in late
22 June. And they'll provide input into the study materials,
23 and the results report-back.

24 --o0o--

25 DR. DAS: These are the inclusion criteria to

1 participate in the study. Firefighters should have been
2 employed by the Fire Authority for more than a year, so it
3 won't be new recruits.

4 They should be scheduled for this physical exam,
5 either through -- from September through December. That's
6 the period of the recruitment and enrollment and sample
7 collection.

8 I already mentioned that we'll be recruiting
9 through electronic reminders, as well as posting the hard
10 copy of the flier.

11 --o0o--

12 DR. DAS: The study participants will be given an
13 informed consent and fully consented to participate in
14 three different components. Participation in the project
15 overall will involve filling out a questionnaire and
16 donating blood and urine. They will opt to receive
17 individual results, as we've already talked about today.
18 And they will also have the option of donating unused
19 blood and urine samples, along with the deidentified
20 personal data as we've talked about today. They will
21 receive compensation for each of these phases, monetary
22 compensation.

23 --o0o--

24 DR. DAS: The exposure questionnaire is in draft
25 form. And just overall though, the questionnaire is

1 depicted here. The purpose is to identify occupational
2 factors and work-related behaviors that might affect
3 exposure to chemicals. And the questions have to do with
4 how frequently they respond to what kinds of incidents;
5 what kinds of activities they do as part of response to
6 incidents. So by incidents, I mean, either fighting
7 fires, responding to hazmat incidents, things like that,
8 what kinds of fires they're respond to. What kinds of
9 personal protective equipment they use, how they're
10 maintained and how frequently they use them

11 And the chemicals targeted on the exposure
12 questionnaire are flame retardants, perfluorinated
13 chemicals, and the PAHs. But the chemicals we're going to
14 measure are more broad than the list of these three. It's
15 just that the questionnaire had to be short. We were told
16 the firefighters won't fill out a questionnaire that's any
17 longer than 15 minutes. And so it's a real challenge to
18 get the information we want into the 15-minute
19 questionnaire for them, because we want complete
20 information.

21 And the checklist that I mentioned, the
22 walk-through checklist, will hopefully get more
23 information that they're able to answer in 15 minutes.

24 --o0o--

25 DR. DAS: So we'll be collecting urine samples,

1 that will be analyzed for pyrethroid pesticides and
2 organophosphate pesticides and metals; as well as PAHs in
3 creatinine; and four tubes of blood for blood metals,
4 PCBs, and flame retardants, the perfluorinated chemicals,
5 and another two for splits in archiving.

6 All these samples will be stored initially at UC
7 Irvine and then shipped to our labs in Richmond and
8 Berkeley.

9 --o0o--

10 DR. DAS: In terms of follow-up, the metals will
11 be among the chemicals that will be analyzed first. And
12 if there's a critical value detected, we'll be following
13 up with the firefighters as soon as we detect those
14 values. For lead, we've chosen a value of 10 micrograms
15 per deciliter or higher. We'll receive immediate follow
16 up. Mercury and other metals may also be followed up
17 immediately.

18 The levels of concern are yet to be determined.
19 We're doing that with -- in cooperation with our
20 colleagues at OEHHA.

21 And in terms of follow up, the results will be
22 reviewed both at the Department of Public Health, as well
23 as UC Irvine. And the firefighters will be given contact
24 information at both those centers, and can contact either
25 Dr. Israel or myself and can choose to be seen by Dr.

1 Israel at the clinic there.

2 --o0o--

3 DR. DAS: In terms of the dust collection, as I
4 mentioned, we already collected dust from three fire
5 stations last week. And we're doing this to assess for
6 potential sources of persistent chemical exposure. We
7 selected three fire stations using a number of different
8 criteria, they were located in three different
9 geographical areas of the county. They were also chosen
10 on the basis of the number of firefighters at these
11 houses, and the types of fires that -- or the types of
12 incidents that the firefighters at each of these houses
13 responded to.

14 An industry hygienist -- actually, two industrial
15 hygienists conducted a walk-through of each of these
16 firehouses and filled out a checklist, collected bags from
17 vacuum cleaners and conducted some wipe sampling -- or
18 micro-cassette sampling as well.

19 --o0o--

20 DR. DAS: The vacuum cleaner bags will be
21 analyzed at the DTSC labs for PBDEs, perfluorinated
22 chemical, flame retardants, PCBs and organochlorine
23 pesticides. And we'll also be conducting analyses for
24 some metals.

25 --o0o--

1 DR. DAS: The checklist that either firefighters
2 will fill out or the industrial hygienists have already
3 filled out will ask questions related to exposure. And
4 these are just some of the exposures that we're asking
5 about, the presence of non-stick cookware in the
6 firehouses, electronic devices, fire -- the fire trucks or
7 other vehicles that they use as part of work with ripped
8 foam seats, furniture with foam padding, the use of foam
9 pillows, because they sleep in the firehouses and some of
10 them bring their own pillows in. If they use foam
11 pillows, we'll be asking about that. We'll also be asking
12 about areas that are carpeted to get at flame retardants
13 as well as perfluorinated chemicals.

14 We'll also ask about pesticide application that's
15 occurred at the firehouse in the past 30 days, and the
16 heating source for the fire station.

17 --o0o--

18 DR. DAS: So as with the material-infant study,
19 those who choose to get their results will receive both
20 the individual results, as well as the overall results for
21 the whole project. And we anticipate that it will be at
22 least nine months to a year before they receive the first
23 set of results. And that will be blood in urine, metals
24 in nonpersistent chemicals, and it could be 18 months to
25 two years until they receive the second set of results,

1 and that will be the persistent organic chemicals. And
2 they will be able to contact the researchers and PIs if
3 they have questions.

4 --o0o--

5 DR. DAS: The results interpretation survey is
6 the on-line survey that I mentioned. They'll get this
7 after they receive all their results. And by conducting
8 this survey, we would like to learn what they think of the
9 results, if they understood the meaning. Does it raise
10 concerns for them? Are they going to make changes in
11 their behavior and so on. And they'll receive a monetary
12 incentive for conducting this part of the project as well.

13 --o0o--

14 DR. DAS: As with the maternal-infant study, it
15 will be primarily descriptive analyses assessing the
16 presence of chemicals measured. And we'll compare the
17 data with other adult studies, such as NHANES or other
18 occupational studies when available, as well as
19 firefighter-specific studies. For example, there is a
20 study that looked at firefighters who responded to the
21 World Trade Center disaster. Some of those chemicals are
22 similar to the ones we're looking at.

23 --o0o--

24 DR. DAS: This is the timeline for the project.
25 We've both -- the institutions, UC Irvine as well as the

1 Department of Public Health have submitted to the IRBs.
2 Our projects will be reviewed by the IRBs soon, and we
3 hope to start testing the first part of the human subjects
4 part of this, as focus groups and individual interviews.
5 And we hope to start that towards the end of June.

6 And then begin recruitment and begin collecting
7 data and biospecimens this fall. We hope to have that
8 completed by the end of the year early next year, with the
9 project results and report ending in about two years from
10 the end of the -- the end of data collection, which is
11 December of this year.

12 So we hope to have a report and to return the
13 final results in December of 2012.

14 --o0o--

15 DR. DAS: I wanted to just acknowledge all the
16 project staff. In addition to the staff that I showed you
17 this morning, there are additional staff. Some are
18 providing in-kind support at the Department of Public
19 Health. There's staff at UC Irvine. There are nurse
20 practitioners, as well as a medical assistant and clinic
21 manager whose name, I'm sorry, I didn't put on there. But
22 there's a clinic manager who's helping out at UC Irvine as
23 well. Orange County Fire Authority, and other staff who
24 have conducted some walk-throughs for us.

25 Elaine Vaughan is a Professor Emeritus at UC

1 Irvine, who is a health educator and expert in returning
2 results. And she will be helping us with focus group and
3 individual interviews of the firefighters.

4 --o0o--

5 DR. DAS: And this concludes my presentation for
6 the FOX study. I welcome any of your questions.

7 ACTING CHAIRPERSON LUDERER: Thank you very much,
8 Dr. Das, for that interesting presentation about the
9 study. And it's really impressive all the progress that
10 you've made since our last Scientific Guidance Panel
11 meeting putting together really this whole study since
12 then.

13 So do any of the Panel members have questions or
14 comments, at this time?

15 Dr. Solomon.

16 PANEL MEMBER SOLOMON: Yeah, that's very
17 impressive. Great study. And I had a few questions about
18 the exposure assessment pieces. You mentioned asking
19 about pesticide exposures in the last 30 days. So are you
20 asking about -- I mean, I don't know if fire stations
21 contract out their pest control services and they have
22 people that come in and provide that service? And if so,
23 are you trying to track down what's being used?

24 And also on the non-stick cookware question, it
25 seemed almost maybe -- well, I just wondered whether

1 you're asking about microwave popcorn use and other kinds
2 of non-stick or grease-proof packaging that might be used
3 in the firehouse, because I'm guessing pizza boxes and
4 Chinese food takeout containers and so forth, and
5 microwave popcorn might be used.

6 And then I guess the other question -- I don't
7 want to pile on too many, but I noticed the phthalates are
8 not among the chemicals of interest, but -- and I'm not
9 sure about this, but the SCBA apparatus, I think those
10 masks may be PVC, and may contain phthalates. And so I
11 just thought that there might be an interesting exposure
12 pathway there.

13 DR. DAS: Yeah, thank you, Dr. Solomon. Those
14 are all really interesting points. We work backwards in
15 phthalates. That's an excellent suggestion and we'll
16 include that in our list of chemicals to be analyzed.

17 Regarding the pesticide use and the application
18 by pest control operators, yes, that may be an issue
19 having to track that down. I will find out what -- I
20 believe whatever process they use, it will be a
21 county-wide process, and probably not a firehouse by
22 firehouse, but that's something we'll have to look into
23 and track down if the firefighters themselves aren't aware
24 of that.

25 And regarding the food issue, it's been -- it's

1 difficult to separate -- you know, I guess occupational
2 versus home use. Of course, the questions -- we have the
3 questions from the maternal-infant study. And it's
4 been -- we've been trying to focus on the firefighting
5 activities. But, of course, staying in the firehouse for
6 24 hours at a time is certainly an occupational hazard.
7 So those are issues we will take into consideration, and
8 we'll have to tie that in somehow in one of the exposure
9 assessment pieces, probably not the individual
10 questionnaire, because we have to stick to 15 minutes for
11 that. Did I get to all of your questions?

12 PANEL MEMBER SOLOMON: I think so.

13 ACTING CHAIRPERSON LUDERER: Dr. Quint.

14 PANEL MEMBER QUINT: Thank you, Rupa. That was
15 very impressive.

16 I had a similar question about the exposure
17 questions that you're asking. All of them could be home
18 exposures as well. So you'll just by process of
19 deduction -- in other words, you know, non-stick cookware
20 could be home use. Just all of the questions, pesticide
21 use, all of those could pertain to exposures at home and
22 not in the firehouse.

23 So you won't ask them questions about home
24 exposures, only firehouse exposures. So how do you -- I
25 mean, I'm just wondering how you're handling that. You'll

1 just assume if -- well, how are you handling home
2 exposures versus, you know, the time that they're not
3 actually, you know, staying in the firehouse? Because all
4 of them are very similar, you know, they could happen in
5 either place

6 DR. DAS: Yes, that's a good point. And
7 we're -- we are not asking about home use, because we are
8 really constrained in terms of the time for the
9 questionnaire. And we just want to get a complete
10 questionnaire -- we want something that we're going to be
11 guaranteed to get back.

12 Part of the reason we're analyzing these
13 chemicals is that they are likely to be chemicals of
14 interest while fighting fires, because so many of these
15 chemicals are used in consumer products and could
16 potentially be of -- the firefighters could be exposed to
17 them, aside from their exposure in the firehouse, while
18 fighting fires.

19 We, as you noted, will not be able to
20 differentiate whether the exposures are a result of home
21 exposure or work exposure through this pilot study, but
22 this is a pilot study and we just want to assess our
23 ability to get back the information and the levels of
24 chemicals in the firefighters.

25 Whether -- we don't anticipate being able to say

1 that the exposures are solely as a result of occupational
2 exposure, especially exposure in the firehouse versus
3 exposure at home. But if we do conduct a subsequent
4 larger study getting at home exposures and occupational
5 exposures, it's potentially something we could study in
6 the future.

7 We're look at this as a pilot study to look at an
8 occupational cohort to test our ability get this
9 information and to measure it, and to get measurements in
10 an occupational group.

11 And the perfect study that we'd like to conduct
12 and elements of which you've kind of touched upon here are
13 some thing that we hope to do down the road.

14 ACTING CHAIRPERSON LUDERER: Dr. Culver.

15 PANEL MEMBER CULVER: I think part of the answers
16 that you just gave are applicable to my question, which is
17 that firefighters are notorious for having second jobs,
18 and for having avocations. And certainly when you go
19 beyond the pilot study at least, it would certainly be
20 important to take both considerations into account.

21 The second question was, is UC Irvine going to
22 keep samples? Will there be splitting of samples between
23 UCI and the State? And if so, how do you plan to do that?

24 DR. DAS: Okay. Regarding your first question
25 about the questionnaire and whether we ask about second

1 jobs, we really had to cut this down a lot. So Sandy
2 McNeel is our questionnaire queen, and I will ask her to
3 answer that.

4 (Laughter.)

5 PANEL MEMBER CULVER: Hi, Queen.

6 (Laughter.)

7 DR. McNEEL: Yes. Sandy McNeel, California
8 Department of Public Health. We're very aware of the
9 second job and additional kinds of activities that
10 firefighters do. A couple of those questions did not make
11 the final cut, but we do have a question that asks about
12 exposure to certain kinds of things, like welding and
13 certain kinds of chemical exposures from other activities.
14 We don't specify whether it's a second job or how many
15 hours they spend, but we do ask a fairly general question
16 about whether some of the chemicals of interest are
17 involved in other activities that our participants take
18 place -- that they have actions that involve these other
19 analytes.

20 DR. DAS: So the intent of that, not asking
21 whether it was a second job, was they might be doing it as
22 a hobby or a job. And for this questionnaire, we didn't
23 make that distinction.

24 Regarding the split samples, UC Irvine will not
25 be retaining any split samples. Any samples that are

1 stored as split samples and extra samples that the
2 participants consent to will be done at the biomonitoring
3 labs only, and not retained at UC Irvine. The only
4 samples that will be analyzed at the labs through UC
5 Irvine will be the clinically relevant tests, those that
6 are done through the WEFIT questionnaire, not as part of
7 the Biomonitoring Program.

8 ACTING CHAIRPERSON LUDERER: Dr. Bradman.

9 PANEL MEMBER BRADMAN: Just a few comments, kind
10 of similar to the train we just had.

11 I'm wondering if it might be possible to have GPS
12 coordinates or some address information for the specific
13 station houses that they work at, and be able to look at,
14 for example, nearby traffic density, truck traffic, things
15 like that, other potential sources of PAHs.

16 And also, it seems like this would be a great
17 opportunity if had one for a biomarker for diesel
18 exposure.

19 (Laughter.)

20 PANEL MEMBER BRADMAN: And, you know, the samples
21 may be useful for that endeavor in the future.

22 DR. DAS: Yes, we will have the addresses of the
23 firehouses. And so we will be able to have GPS
24 coordinates and look at traffic and other patterns. And
25 regarding diesel, the union and the firefighters are very

1 interested and aware of that as an exposure. So I thank
2 you for your comment about the importance of diesel for
3 this population. We'll take that into consideration.

4 ACTING CHAIRPERSON LUDERER: Dr. Wilson.

5 PANEL MEMBER WILSON: Mike Wilson.

6 So, yeah, again, I want to echo the comments of
7 the other panelists on how far this has come. It's really
8 impressive, and moving a big organization like the Orange
9 County Fire Authority and as quickly as you have is really
10 encouraging.

11 And it looks like, if I understand it right, that
12 the exposure piece of it is really focused on the fire
13 house itself or did I -- there's no -- it's not
14 anticipated to have questions that would be related to
15 exposures that occur during fire responses or did I get
16 that wrong?

17 DR. DAS: No, you understood correctly. For this
18 phase of the project, the exposure assessment is
19 restricted to the firehouse. Again, it's a resource and
20 feasibility issue. The union has expressed interest in
21 assessing exposures at the site of fire and through taking
22 contaminants back to the firehouse on personal protective
23 equipment and so on.

24 And I think those are questions we're aware of
25 the need to look at that in the future. And we'll let you

1 know if we make any progress on that.

2 PANEL MEMBER WILSON: Yeah. I mean, I think it's
3 obviously important. And they're -- I'm sure you've
4 thought of looking -- you know, assessing sort of the call
5 volume at the three stations that you're assessing and
6 trying to, you know, identify those stations that have a
7 high call volume for example, because I'm sure it's fairly
8 broadly distributed in Orange County from the very slow
9 stations to those that are very, very busy.

10 DR. DAS: Yes. And that will be part of the
11 information that we collect as part of the checklist and
12 other information we get on the firehouses.

13 PANEL MEMBER WILSON: Okay. I guess, you know,
14 on this 15 minute thing.

15 (Laughter.)

16 PANEL MEMBER WILSON: I would -- I guess, I
17 think, you know, from your description of the buy-in that
18 you've had, both from the union side and the Department
19 side, that if it's understood by, you know, the rank and
20 file firefighters that this is an important study, and if
21 it goes beyond 15 minutes, not -- actually, not to worry
22 about that as -- in my experience, that as long as the
23 union leadership is behind the study, and really
24 encouraging the membership to do their very best at
25 filling it out et cetera. And you need to get a little

1 more information beyond what you can in 15 minutes --

2 DR. DAS: Yeah, well, there are a couple of
3 different factors, because we're doing the collection of
4 the information on the questionnaire while they are
5 getting their physical exam at UC Irvine. And that takes
6 place over a morning. There's a certain number of
7 firefighters that come through. They have to go through
8 four different stations in a certain amount of time.

9 So they'll be filling out the questionnaire in
10 between these different stations. And so we were told by
11 a number of different people, Contra Costa County, as well
12 as UC Irvine, that once they leave that clinic, then don't
13 count on getting the questionnaire back. So we would like
14 the questionnaire back before they actually leave. And we
15 felt that the time they have between those different
16 stations amounted to about 15 minutes.

17 But I see what you're saying about the union does
18 think of this as a high priority for them. And that
19 perhaps that would be enough of an initiative to, you
20 know, let the firefighters do a longer questionnaire. I
21 mean, that's something we could test in the focus groups
22 and in the individual interviews and in some of the
23 surveys, the feedback surveys, that we get, whether they'd
24 be willing to do a longer questionnaire and under what
25 circumstances.

1 PANEL MEMBER WILSON: Yeah, I would encourage
2 that. I think it's worth doing -- at least worth
3 exploring.

4 ACTING CHAIRPERSON LUDERER: Dr. Solomon.

5 PANEL MEMBER SOLOMON: Yeah. Just to clarify
6 further about the question of whether you're focusing on
7 exposures at the firehouse or at fires. Are you asking
8 questions about how many fires people have actually fought
9 within any period of time, and what actually they're doing
10 on site or, you know -- because their job title and so
11 forth would be helpful in that regard. But it would be
12 good to know actually how many calls of what kind they've
13 gone out on over the last month or so.

14 DR. DAS: We do have questions that get at that.
15 I don't have the wording of the exact question with me,
16 but we do ask about how many incidents they've responded
17 to over a certain period of time. Sandy, do you have more
18 specific information on that?

19 DR. McNEEL: Sandy McNeel. In the 15-minute
20 questionnaire, we ask specifically what kinds of incidents
21 and how much time they've spent in the last 24 hours,
22 primarily because through our OCFA liaison, we identified
23 that the firefighters who are scheduled for their WEFIT
24 examinations are usually coming on duty that morning, so
25 they have not been on active duty as a fire fighter within

1 the past couple of days to perhaps as long as a week.

2 Now, in addition to our 15-minute questionnaire,
3 part of the routine WEFIT examination involves filling out
4 another questionnaire that also asks about responses,
5 different types of activities that the firefighters have
6 been involved with, different kinds of incidents, I
7 believe, it's over a week, the past week or past month.
8 But it doesn't get into the number of hours. It's just
9 simply the number of incidents.

10 So we tried to refine that a little bit for the,
11 you know, for the firefighters who may have been on duty
12 in previous 24 hours.

13 ACTING CHAIRPERSON LUDERER: Any additional
14 questions from the Panel?

15 Do we have any public comments at this time?

16 MS. DUNN: None.

17 ACTING CHAIRPERSON LUDERER: No public comments.

18 I did have just a quick question about the
19 specimen collection. When you were talking about the
20 different blood samples, you know, you measured there were
21 going to be different sample for certain analytes and then
22 there would be split and archive. But for the urine, are
23 those also going to be split? You just -- it was kind of
24 a one specimen. And I assume that that's also going to be
25 archived and there will be split samples?

1 DR. DAS: If they consent to their specimens
2 being archived, then we would archive the left-over urine
3 as well.

4 Dr. Quint.

5 PANEL MEMBER QUINT: Julia Quint. I do have a
6 quick question. You mentioned, what is it, the emergency
7 feedback information -- the trigger for getting back to
8 people, in terms of the level of analyte. And you
9 mentioned 10 micrograms per deciliter, I guess it is, for
10 lead, and to be determined mercury, and I don't know what
11 else.

12 How are you going to do that? I mean, this is
13 sort of -- for lead, you know, it's well recognized the
14 correlation between the biomonitoring results and the
15 potential health information. But we're cutting kind of
16 new territory here, aren't we, or are we, with mercury and
17 the other metals that you were going to consult OEHHA
18 about.

19 I'm just highlighting this as something that we
20 might want to pay attention to, so that it might be useful
21 for other, you know, biomonitoring studies or, you know,
22 more curious about how you're going to determine what is
23 the trigger for getting back to people.

24 MS. HOOVER: Sara Hoover, OEHHA.

25 And we've actually been looking at everything

1 that's available, in terms of action levels or levels that
2 could be related back to biomonitoring information, so
3 we're still in that process.

4 So we're going to be providing that information
5 to DPH, but we'll be talking about it internally. And,
6 you know, there's certain things that are clear action
7 levels. Like you said, lead is clear. So we're going to
8 figure out what is actually -- has been designated by
9 authoritative body or, you know, what was well developed
10 rationale for calling it an action level.

11 So at the moment, we're approaching it as kind of
12 summarizing what's available, and then figuring out is
13 there something that's usable as an action level for a
14 particular project.

15 PANEL MEMBER QUINT: Yeah. And in that regard, I
16 guess Rupa would be the best source of this information.
17 But I'm wondering if occupational medicine physicians
18 already have some sort of value -- some sort of guidelines
19 that they use for just this purpose -- and, Dwight, of
20 course, the preeminent person here who could answer that.

21 PANEL MEMBER CULVER: I was wondering whether you
22 had looked at the biological BEI list that has probably
23 the best list of biological indicators of occupational
24 exposures that we have.

25 MS. HOOVER: Yeah, we're also looking at -- we're

1 look agent those exactly and any other occupational
2 information we can find.

3 ACTING CHAIRPERSON LUDERER: Dr. Denton.

4 OEHHA DIRECTOR DENTON: Rupa, thinking back on
5 Julia's question early on this morning, the Program being
6 primarily focusing or designed originally for the
7 statewide representative sample, and you mentioned that
8 these studies will also inform the development of the
9 statewide representative sampling issue, I'm just curious
10 about how you think or what will be -- what will evolve or
11 what will you determine from this study that will help
12 answer that part of the purpose of the Program?

13 DR. DAS: Well, I see this as a prototype for a
14 study looking at occupational cohorts. We're using
15 firefighters as one of a potentially highly exposed worker
16 cohort, but a representative sample of the general
17 population may not have the same lessons or the same
18 exposure patterns as a worker cohort and the means to
19 outreach and get information from a worker cohort may be
20 different.

21 So in addition the Panel has expressed support
22 for looking at worker cohorts specifically. So I guess I
23 would, in terms of informing a representative sample, this
24 is a microcosm of a worker cohort that might inform a
25 statewide sample of workers. Although, I think any kind

1 of statewide sample of workers would have to focus on a
2 particular occupation. It would be difficult to get a
3 statewide sample of workers without being more specific.

4 So I think for occupational cohorts, the
5 generalizability is a little more specific, in terms of
6 you're looking at the generalizability to workers. So I
7 would say the lessons that are learned that are more
8 broadly applicable would apply to worker populations.

9 ACTING CHAIRPERSON LUDERER: Were there any other
10 questions or comments from Panel members?

11 DR. DAS: I just wanted to make one last comment
12 that I thank you for your compliments on how far we've
13 gotten. It's because of the hard work of all our staff.
14 People have worked very hard.

15 And in addition, it's been a real joy to work
16 with our colleagues at UC Irvine, as well as our WEFIT and
17 OCFA colleagues. I think without everyone's interest and
18 support and hard work, we wouldn't have come this far in
19 such a short time. So I really would like to publicly
20 acknowledge everyone's assistance.

21 ACTING CHAIRPERSON LUDERER: Thank you.

22 We actually are scheduled to have a break at this
23 point. We've caught up a little bit since lunch time.
24 But shall we take a 15-minute break, so that would be
25 coming back at about 3:40.

1 (Thereupon a recess was taken.)

2 ACTING CHAIRPERSON LUDERER: Okay. I'd like to
3 welcome everyone back and introduce the next item, which
4 is going to be a discussion of parabens as potential
5 priority chemicals. And so I'd like to introduce Dr. Gail
6 Krowech, who is OEHHA's staff toxicologist, who's going to
7 be talking about parabens.

8 (Thereupon an overhead presentation was
9 Presented as follows.)

10 DR. KROWECH: So before I talk about the
11 parabens, I wanted to just quickly go over the criteria
12 that Sara mentioned earlier today for the criteria for
13 recommending priority chemicals. And they are the degree
14 of potential exposure to the public or specific subgroups,
15 the likelihood of a chemical being a carcinogen or
16 toxicant, the limits of laboratory detection and other
17 criteria that the Panel may agree to.

18 And also to -- as a reminder, these criteria are
19 not joined by "ands" and the Panel is not required to name
20 additional criteria.

21 --o0o--

22 DR. KROWECH: These are the four parabens that
23 are designated chemicals: butylparaben, ethylparaben,
24 methylparaben, and propylparaben. They're alkyl esters of
25 p-hydroxybenzoic acid. And the paraben shown here as an

1 example is ethyl paraben.

2 Parabens are -- and these four in particular are
3 antimicrobials preservatives. They're widely used in
4 cosmetics, in lotions, shampoos, deodorants, in
5 sunscreens, pharmaceuticals, and food and beverages. A
6 number of studies have shown endocrine disrupting effects
7 of parabens. And it's been shown that intact esters are
8 absorbed.

9 --o0o--

10 DR. KROWECH: This slide shows CDC's findings of
11 the four designated parabens. And you can see by the
12 table that methylparaben was detected in 99 percent of
13 individuals propylparaben in 93 percent and butyl and
14 ethylparaben in somewhat less than 50 percent. And
15 there's also a wide range of levels.

16 In all of these cases, females were much
17 greater -- had greater levels than males.

18 --o0o--

19 DR. KROWECH: CDC looked in particular at
20 methylparaben and propylparaben, because of the high
21 levels, and again found that urinary levels in females
22 were much greater than in males. And they looked
23 also -- they used their categories for race and ethnicity.
24 And looking at that found that non-Hispanic blacks had
25 greater levels than Mexican-Americans, who had greater

1 levels than non-Hispanic whites.

2 And that for non-Hispanic black children and
3 adolescents, the levels were greater than or equal to
4 non-Hispanic black adults. And this can be seen better
5 with this next slide on methylparaben.

6 --o0o--

7 DR. KROWECH: The graph shows a concentration in
8 urine for methylparaben in CDC's three race ethnicity
9 categories by age group. And the blue one is
10 Mexican-American. And you can see that the levels
11 increase with age up until the last age group. The
12 non-Hispanic blacks they're high in the children as well,
13 and then taper down slightly.

14 --o0o--

15 DR. KROWECH: And this table is very similar for
16 propylparaben as well. We just happened to show this one.

17 --o0o--

18 DR. KROWECH: And this last slide is the standard
19 slide that we show about laboratory considerations. If it
20 were to be a priority chemical, CDPH would be the lab that
21 would be doing the analysis. And the methods are not
22 developed, but methods for related chemicals are under
23 development.

24 --o0o--

25 DR. KROWECH: That's it. Any questions?

1 ACTING CHAIRPERSON LUDERER: Do any Panel members
2 have any questions about the presentation?

3 Dr. Bradman.

4 PANEL MEMBER BRADMAN: Is there any reason to
5 think that levels in Californians are higher, in other
6 words, maybe sunscreen or other cosmetic uses?

7 DR. KROWECH: I don't know.

8 ACTING CHAIRPERSON LUDERER: Dr. Solomon.

9 PANEL MEMBER SOLOMON: I was just wondering if
10 there are any hypotheses about the major racial and ethnic
11 differences in paraben concentrations, because that's
12 hugely striking, and I was just wondering if there were
13 some products that have been identified as being
14 potentially likely sources?

15 DR. KROWECH: This paper, Calafat et al., they
16 suggested it could be hair products that are used from an
17 early age. And they also suggested that age 60 is when
18 the levels seem to even out. And that might have
19 something to do with pharmaceuticals, that everybody is
20 taking medication, and so that increases -- it increases
21 certain groups and decreases others.

22 Should I put that slide back?

23 ACTING CHAIRPERSON LUDERER: Dr. Wilson.

24 PANEL MEMBER WILSON: Thanks. Mike Wilson. Just
25 for clarification, we've designated parabens.

1 PANEL MEMBER SOLOMON: CDC did.

2 PANEL MEMBER WILSON: Yeah, and that was my
3 question, yeah -- and CDC did as well, is that right?

4 DR. KROWECH: We designated only these four
5 parabens, based on the fact that they were part of CDC's
6 program. And so that -- when that publication came out,
7 that actually meant that they were part of our program and
8 designated.

9 PANEL MEMBER WILSON: Right.

10 ACTING CHAIRPERSON LUDERER: I do have a
11 question. You mentioned that these could be bundled with
12 other phenols, I guess you said, right, environmental
13 phenols. Is that something that the labs are currently
14 working?

15 DR. KROWECH: I didn't mention that, but that's
16 my understanding that they are analyzed at CDC as phenols.

17 Maybe Jianwen wants to say something.

18 DR. SHE: Jianwen She, Environmental Laboratory.

19 Yes, CDC actually developed a method to look for
20 bisphenol A, triclosan, triclocarban, and also parabens
21 with one method. And we are supposed to be able to do the
22 same things. So we will look for the standard, if the
23 recommendation is for us to do it.

24 ACTING CHAIRPERSON LUDERER: Currently, the
25 one -- you're working on bisphenol A is the only one of

1 those that you're currently developing a method for, is
2 that right? Am I remembering that correctly or --

3 DR. SHE: Actually, we also looked for triclosan,
4 the two, because they're both priority chemicals already.
5 So we purchased some paraben standards. We will start to
6 look at them very soon. They can be bundled.

7 ACTING CHAIRPERSON LUDERER: Are there other
8 questions from Panel members?

9 Dr. Solomon.

10 PANEL MEMBER SOLOMON: It's just kind of striking
11 that the exposure ranges, you know, covers about four
12 orders of magnitude. I'm not used to seeing that. My
13 recollection is usually it's just, you know, maybe one or
14 two orders of magnitude difference. It's usually, you
15 know, sort of a log normal distribution, but this one
16 is -- and so I just wondered if you had like other --
17 experience about other chemicals that had such wide
18 exposure distributions in the population or if this is as
19 unusual as I think it is.

20 DR. KROWECH: I can't really speak to that. But
21 look at it, I'm wondering if there's a difference of
22 absorption, because there's some issues about being
23 metabolized by esterases. So that might have wide
24 variation among the population. And I know that orally
25 that was at least something that I've read, that a lot of

1 it was metabolized to the p-hydroxybenzoic acid, but there
2 might be a wide range, in terms of metabolism that way and
3 definitely on the skin.

4 ACTING CHAIRPERSON LUDERER: Dr. Quint.

5 PANEL MEMBER QUINT: I find the -- I guess it was
6 the hypothesis in Calafat paper -- I mean, their
7 hypothesis that the high levels in non-Hispanic blacks --
8 black children may be due to personal care products. I
9 don't know if they -- did they say hair products in
10 particular or I forget now?

11 DR. KROWECH: Yeah, me too.

12 PANEL MEMBER QUINT: But I know that in Region 9
13 they have done a project on ethnic hair products as a part
14 of the work that they did with the Healthy Nail Salon
15 Collaborative. And it would be interesting to find out
16 whether or not, you know, any of the products contained
17 parabens, because I don't find that to be very plausible
18 with kids that age of the types of products that I'm
19 familiar with as being used on children at that young age.

20 So, I mean, I think just that ethnic difference
21 and especially the very, very high levels in the children
22 from 6 to 11 for an endocrine disrupting chemical is of
23 particular concern. And it's interesting, because I'm not
24 sure if we did make this a priority chemical, it almost
25 begs the question of being able to do some sort of

1 biomonitoring either as a smaller study or some sampling
2 where you would make sure that you could pick up this, you
3 know, population in California. And I'm not sure that
4 extent to which we would be able to do that, because the
5 other results don't seem to be significantly of concern,
6 as much as the results in non-Hispanic blacks. So I think
7 that's kind of an ethical issue or something.

8 MS. LEE: Yeah, that's something we could look
9 into possibly doing -- sorry, this is Diana with the
10 California Department of Public Health.

11 We might be able to explore using our
12 collaboration with Kaiser's CYGNET study, which is
13 pre-adolescent girls. And they have roughly 350 samples,
14 urine samples, that we might be able to look at. But
15 again, it's predicated on our labs developing their
16 capability first. So that's something we could certainly
17 bring up with our CYGNET collaborators.

18 ACTING CHAIRPERSON LUDERER: Yeah, I mean, I
19 think the other striking thing is the huge gender
20 difference with the much higher levels in women. And
21 again, with exposure to endocrine disrupting chemicals and
22 women of child-bearing age, that's obviously another big
23 concern. Might even suggest that perhaps it could be
24 added -- I don't know if things can be added to the MIEEP
25 study, but in that kind of a population also might be a

1 very good population for looking at these chemicals.

2 MS. LEE: Yeah. I also just got reminded that a
3 number of the collaborators in the Breast Cancer
4 Environmental Research Center, BCERC, which NIH is
5 funding, I think they are looking at some of this too. I
6 think Sinai -- Mount Sinai is looking at that, so we might
7 be able to get some data from them too.

8 DR. KROWECH: And parabens are apart of the MIEEP
9 study.

10 MS. LEE: Proposed.

11 ACTING CHAIRPERSON LUDERER: Do we have any other
12 comments from Panel members?

13 Do we have any comments from the public?

14 MS. DUNN: Yes.

15 ACTING CHAIRPERSON LUDERER: Mr. Davis Baltz.

16 MR. BALTZ: Well, that last comment just prompted
17 a question. If parabens are in the MIEEP study, shouldn't
18 that mean that they have been prioritized?

19 MS. HOOVER: So to clarify, it's proposed as part
20 of the MIEEP. So Diana was -- so my slide actually said
21 it's part of the MIEEP. It should have said it's proposed
22 to be part of the MIEEP. It's partly predicated on the
23 fact of whether the labs can analyze it or not.

24 But the other thing to remember is that the MIEEP
25 is not only a Biomonitoring California Program project,

1 it's also a project that involves other collaborators and
2 other collaborators may have interest that goes beyond the
3 priorities chemicals in Biomonitoring California.

4 ACTING CHAIRPERSON LUDERER: So we do have one
5 public comment.

6 MS. DUNN: Well, that was -- I do actually have
7 someone that just Emailed me.

8 ACTING CHAIRPERSON LUDERER: That was it, okay.
9 Any other comments or questions from the Panel
10 members?

11 Dr. Solomon.

12 PANEL MEMBER SOLOMON: Well, I guess if we're
13 starting kind of our discussion about whether to
14 prioritize this chemical, I think the question is, if we
15 were to -- you know, if we were to prioritize it to
16 basically kind of replicate the NHANES' results, that
17 might be less useful, because the likelihood that there's
18 a significant difference in the California population is
19 probably pretty low, given the types of exposure pathways.

20 But if we were to prioritize it for purposes of
21 incorporation into some of these more focused population
22 studies, then I think that could be, you know, very useful
23 and a huge addition to the, you know, literature out
24 there.

25 And so, it sort of, to me, a little bit depends

1 on what we're thinking about, you know, when we make our
2 decision about whether to prioritize this. I certainly
3 would love to see studies done on, you know, sort of more
4 of these kinds of focus studies, like the MIEEP study and
5 others where we can target non-Hispanic blacks in
6 California, et cetera.

7 So, for that reason, it seems potentially very
8 useful.

9 ACTING CHAIRPERSON LUDERER: We did have one
10 additional public comment. So I'll just read that now.
11 It was by Email. And it was from David Steinberg of
12 Steinberg & Associates.

13 And he wrote, "Parabens..." -- he wrote "...allow
14 they are allowed...", I think he means "...although they
15 allowed, are not used in any foods in the U.S." And that
16 was the comment.

17 Any further discussion from Panel members about
18 prioritizing parabens?

19 PANEL MEMBER BRADMAN: I just want to say I agree
20 with what Gina said about the purpose of this. Certainly,
21 there's a lot of interest in parabens, and concern about
22 their endocrine-disrupting potential. And they certainly
23 have not been studied from a health effects point of view
24 very extensively. Although, that's not the focus of the
25 Biomonitoring Program.

1 But given their potential to be endocrine
2 disruptor, and given the disparities that we see in the
3 national population, and given the diversity in
4 California, biomonitoring information may be valuable in
5 knowing who's most exposed. And if health issues become
6 of concern, you know, where to target outreach or -- or
7 just, in general, supporting policies to reduce exposures.

8 So given those issues over time, having some data
9 on it might be very valuable, especially if we are able to
10 show trends.

11 ACTING CHAIRPERSON LUDERER: Dr. Wilson.

12 PANEL MEMBER WILSON: I'm simply -- Mike
13 Wilson -- concurring that both with Dr. Solomon and Dr.
14 Bradman that this class of substances has a -- has sort of
15 a unique application to California, given the data that
16 we're seeing across race and ethnicity. And so I just --
17 I want to just weigh in and agree with those two comments.

18 ACTING CHAIRPERSON LUDERER: So I'm hearing from
19 various members of the Panel support for prioritizing
20 parabens. Would anyone in the Panel, at this time, like
21 to make a motion or is there additional discussion?

22 PANEL MEMBER WILSON: I'll make a motion.

23 ACTING CHAIRPERSON LUDERER: Dr. Wilson.

24 PANEL MEMBER WILSON: Mike Wilson. I move that
25 we prioritize parabens as a class.

1 PANEL MEMBER SOLOMON: No, it has to be the four
2 designated --

3 OEHHA CHIEF COUNSEL MONAHAN-CUMMINGS: Excuse me,
4 Dr. Wilson

5 PANEL MEMBER WILSON: Oh, the designated ones,
6 yes. Thank you.

7 OEHHA CHIEF COUNSEL MONAHAN-CUMMINGS: And it
8 might be best to just say that you would move to recommend
9 prioritizing those four parabens that are already
10 designated, just a suggestion.

11 (Laughter.)

12 PANEL MEMBER WILSON: Thank you, suggestion from
13 counsel.

14 (Laughter.)

15 PANEL MEMBER WILSON: So I would move that we
16 prioritize those parabens that are designated for purposes
17 of biomonitoring in California.

18 ACTING CHAIRPERSON LUDERER: All right. So we
19 have a motion to recommend that we prioritize the four
20 designated parabens. Would anyone like to second the
21 motion?

22 PANEL MEMBER QUINT: Julia Quint. I second the
23 motion.

24 ACTING CHAIRPERSON LUDERER: All right. Should
25 we have some further discussion about the motion. Are

1 there any additional comments or thoughts from Panel
2 members?

3 Dr. Quint.

4 PANEL MEMBER QUINT: Julia Quint. I was just
5 reading. I remembered that there was another source for
6 at least methyl and propylparabens besides -- aside from
7 personal care products, and they are in foods as well. So
8 that's another source that could explain some of the
9 differences that we're seeing. But anyway, that's just an
10 add on, it's not -- it doesn't substantially change
11 anything.

12 ACTING CHAIRPERSON LUDERER: Dr. Solomon.

13 PANEL MEMBER SOLOMON: Just that public comment
14 was interesting, in that there's the idea that it's not
15 actually used in food, even though it's allowed in food.
16 And so it would be interesting to try to gather more
17 information about the uses of these chemicals. I don't
18 think that we -- that our decision today on whether or not
19 to prioritize them is contingent on that information. But
20 that get -- you know, I would certainly recommend that we
21 try to gather more information on the use patterns and
22 whether really they're used in food or really just in
23 personal care products.

24 ACTING CHAIRPERSON LUDERER: Yeah, the comment
25 did say they were not used in foods in the U. S., but

1 they may be in imported foods. Those kinds of things
2 would be useful information to have.

3 All right. Are we ready to -- Dr. Quint, did you
4 have another comment.

5 PANEL MEMBER QUINT: (Shakes head.)

6 ACTING CHAIRPERSON LUDERER: I guess we're ready
7 to take a vote then on the motion to recommend that the
8 four designated parabens be prioritized.

9 Dr. Bradman, would you like to start the vote.

10 PANEL MEMBER BRADMAN: Yes.

11 PANEL MEMBER CULVER: Dwight Culver. Yes.

12 PANEL MEMBER KAVANAUGH-LYNCH: Mel

13 Kavanaugh-Lynch. Yes.

14 ACTING CHAIRPERSON LUDERER: Ulrike Luderer.

15 Yes.

16 PANEL MEMBER WILSON: Mike Wilson. Yes.

17 PANEL MEMBER SOLOMON: Gina Solomon. Yes

18 PANEL MEMBER QUINT: Julia Quint. Yes.

19 ACTING CHAIRPERSON LUDERER: We have a unanimous
20 vote in favor of prioritization of the four parabens.

21 All right. So the next item on the agenda is a
22 discussion of the new format, and some other issues
23 related to the designated and priority chemicals list.

24 So Sara Hoover, Chief of the Safer Alternatives
25 Assessment and Biomonitoring Section of OEHHA is going to

1 present this item.

2 (Thereupon an overhead presentation was
3 Presented as follows.)

4 MS. HOOVER: So this is the item that we're
5 bringing back from the last meeting. So we had proposed
6 this new format. We got Panel support. And then we said
7 that as part of implementing the format, there may be some
8 issues to revisit. So this item is covering some of those
9 issues, but also another issue that came up during the
10 course of preparing the new list format.

11 --o0o--

12 MS. HOOVER: So the goals of this item are to
13 discuss certain specific format issues that I'll
14 highlight. We also want to propose an approach to you for
15 including new parent compounds for metabolites that
16 already appear on the priority list.

17 I'm going to discuss some proposed footnotes for
18 both diesel exhaust, that would be a revised footnote for
19 that one, and a new footnote for PAHs on the priority
20 list, and to obtain SGP and public input on all of the
21 above.

22 --o0o--

23 MS. HOOVER: So as we talked about last time,
24 just to remind you, the aim is for the list to be both
25 readable and informative. In general, we're using the

1 format that was adopted in the CDC fourth report, with
2 some variation -- program-specific variation. And one of
3 the changes is that we would be including both the parent
4 compounds and the metabolites on both the designated and
5 priority lists.

6 And we also made some updates on the format,
7 based both on Panel recommendations and some additional
8 research, that we did in the -- since the last meeting.

9 --o0o--

10 MS. HOOVER: So here's some of the specific
11 format issues that I want to highlight. We have
12 decided -- we're proposing -- so again, this is just a
13 proposal, and the document you got is strictly a proposal,
14 so any input is welcome. We're proposing that, in
15 general, we're going to, on this list, group the
16 stereoisomers.

17 So we would only explicitly list a particular
18 isomer if it's informative, for example, lindane. And
19 I'll show an example of what I mean on the next slide, or
20 in one of the later slides.

21 We also talk about using full common names
22 including them in parentheses or common chemical names.
23 For example, as I've shown here, triclosan appears on the
24 list. Its full chemical name appears in the
25 parenthetical. Dichloromethane appears on the list.

1 Methylene chloride appears in the parenthetical.

2 We're not entirely consistent in this item.
3 We've tended to include things that we thought are widely
4 recognizable names like methylene chloride or in the case
5 of triclosan, we adopted an approach of giving the full
6 chemical name when this does not represent a chemical
7 name. But we haven't done that consistently, so I want to
8 hear your input on that.

9 And the other idea that we had is to basically
10 include just widely used abbreviations, such as MTBE and
11 PBDEs, and not necessarily include every abbreviation that
12 we showed before. And this is mainly again to make the
13 list less cluttered and more readable.

14 Now, before I go on, I just want to let you know
15 that as an aside to this, this list is more of a publicly
16 accessible, readable list as opposed to a full technical
17 list, like something like the Prop 65 list where you have
18 CAS numbers, you tend more to have the full chemical name.

19 And so what we intend going forward is to
20 actually create a full technical list where we would have
21 the CAS number. We would have the full chemical name. We
22 would have the abbreviations and any common names.

23 So that's our intention to do over time. It's
24 actually quite a large undertaking to do that. But that's
25 the backdrop for some of the clean up of the list right

1 now.

2 --o0o--

3 MS. HOOVER: So this just shows a sample of the
4 proposed format for the designated list. So you'll see,
5 for example, in the top left the dioxins. Here, we've
6 only shown TCDD as an abbreviation, because that's
7 commonly recognized.

8 If you look down to the right, there's a couple
9 examples, for example DBCP is shown, methylene chloride is
10 shown, but we also have for 1,2-dichlorobenzene, we have
11 o-dichlorobenzene. You know, is that really needed? So
12 there's still some issues to work out like that.

13 --o0o--

14 MS. HOOVER: Here's a sample of the priority
15 list. And this shows on the brominated and chlorinated
16 organic compounds used as flame retardants, we actually
17 had created -- Gail and I had created this large document
18 with a large list. And in that document, we've used some
19 abbreviations for our own purposes, but they're not widely
20 used. So those were removed from the list and only
21 abbreviations that are actually used more widely were
22 kept. And we're going to reevaluate that before we
23 finalize this.

24 You'll see on cyclosiloxanes we put in the
25 abbreviations D5, D6, D4 and so forth.

1 --o0o--

2 MS. HOOVER: Now, here's the stereoisomer example
3 I wanted to highlight. So currently -- and this partly is
4 a legacy of how the list was developed. So over time,
5 we've been developing the designated list based on CDC
6 information. And the CDC information has involved both
7 this CDC third report, as well as a list of chemicals
8 included in their studies, some of which appear in the
9 third report, some of which have now been added to the
10 fourth report, and some of which are not reported on by
11 them as yet.

12 So the Program early on had decided that it was
13 useful to list parent compounds associated with
14 metabolites. So this comes from some early efforts to
15 link parent compounds with metabolites. So permethrin is
16 a parent of 3-phenoxybenzoic acid. But we've explicitly
17 split out cis-permethrin and trans-permethrin. CDC has
18 taken this approach now of just calling it permethrin and
19 listing the relevant metabolites. And we prefer this
20 simpler approach.

21 --o0o--

22 MS. HOOVER: So actually before I go on to -- the
23 talk has very different topics involved. So I'm going to
24 just stop here for a moment and see if you have any
25 questions about what I've said so far.

1 ACTING CHAIRPERSON LUDERER: It looks like there
2 are no questions.

3 MS. HOOVER: Okay, good.

4 So the other thing that we encountered in
5 developing the format was that, as I mentioned, I think in
6 an earlier talk as well, there's certain categories where
7 we've identified groups of chemicals based on those
8 chemicals that were designated by CDC. So the entire
9 class was not moved to the priority list, for example,
10 pyrethroids.

11 So the parent compounds were identified for
12 specific metabolites in those groups, based on the
13 information that we had available at the time. However,
14 as time goes on, there may be additional parent compounds
15 identified for particular metabolites. And I'm going to
16 show you this example coming up.

17 --o0o--

18 MS. HOOVER: So what we're proposing, and
19 hopefully it will be clearer when I show you the exact
20 example, but if you've moved a group -- so you moved, for
21 example, all pyrethroid pesticides that were designated.
22 Those were moved to the priority list. It wasn't an
23 individual choice of compounds.

24 So the entire -- that entire class that was
25 already designated, which was a specific set of chemicals

1 was moved over. That included certain metabolites
2 associated with certain parent compounds.

3 So what we're proposing is if CDC identifies
4 additional parent compounds for those same metabolites
5 that have already been moved over, we would simply add
6 those parent compounds rather than bringing them back to
7 the Panel for approval.

8 --o0o--

9 MS. HOOVER: So this is the example. This is why
10 we're bringing this item to you, so that we don't have to
11 keep asking you about this. So the example is
12 3-phenoxybenzoic acid. The parent compounds identified in
13 the third report were cypermethrin, deltamethrin,
14 permethrin and possibly other pyrethroid insecticides.

15 And in the fourth report, they actually list six
16 parent compounds, and the additional three are
17 cyhalothrin, fenpropathrin, and tralomethrin. So we would
18 propose just adding those.

19 So before I move on to the next topic, any
20 questions about that? Is it clear what I'm trying to get
21 across?

22 Okay.

23 --o0o--

24 MS. HOOVER: Okay. So sorry for the -- this is
25 all related to the list, but they're very different

1 topics. So moving to another topic.

2 At the last meeting, I mentioned to you that
3 there was some inconsistency, in terms of implementing the
4 format. And what we had proposed to do is make the
5 priority list look like the designated list, i.e., the
6 parent compounds and the relevant metabolites or
7 indicators would be listed on the priority list, instead
8 of only the parent compounds.

9 However, when the SGP recommended PAHs for the
10 priority list, there were three hydroxy-PAH's actually
11 recommended to the priority list. And at the last meeting
12 I had suggested that we would bring back to you the idea
13 of adding the parent compounds to the priority list
14 explicitly, and get the Panel's approval.

15 However, in doing research for this topic, it
16 turns out that part -- well, if you look back at the
17 transcript, the SGP picked those particular three
18 hydroxy-PAHs, based on the understanding that there would
19 soon be lab capability for those three compounds. And the
20 SGP had interest in PAHs, in general. And those three
21 were moved because of the idea that well these will be
22 ready quickly.

23 It turns out that CDC -- so there's two separate
24 pieces of information here. CDC is not going to analyze
25 any longer for two of the three. And I can give you the

1 exhaust. This is actually a separate issue that Dr.
2 Culver raised. And we agreed with his opinion on this and
3 wanted to bring it to the Panel.

4 So the current footnote on diesel exhaust says,
5 "All components of diesel exhaust are designated
6 chemicals." And really the purpose of that broad footnote
7 was to allow flexibility, to choose an appropriate
8 biomarker or component to biomonitor.

9 But it's really not the message of the panel that
10 every single component of diesel exhaust is a concern and
11 should be designated and prioritized. So when we went
12 back and looked at the discussion of the topic, we came up
13 with a proposed revision, shown here, "Diesel exhaust is a
14 complex mixture that contains many components, one or more
15 of which may be useful as an indicator for biomonitoring."

16 This doesn't necessarily capture all possible
17 elements of how we might proceed, but it's a more accurate
18 indication of what the Panel's intent was.

19 --o0o--

20 MS. HOOVER: So the next steps on this item will
21 be to finalize the format following Panel and public
22 input. We're going to be posting updated lists by July
23 2010. And we would bring priority PAHs back to be
24 addressed at a future meeting, as well as development of a
25 fully technical list, as I mentioned.

1 byproducts. And so I just wanted to flag that again in
2 case that's something that could be put in the queue for
3 potentially coming before the Panel for designation.

4 MS. HOOVER: That's one of the ones we're
5 tracking. Earlier, in my update, I didn't have time to go
6 into a whole big long list, but that still is being
7 tracked by the Program, so that's one of the things on
8 there.

9 ACTING CHAIRPERSON LUDERER: Is there any other
10 questions or comments from the Panel members?

11 Dr. Bradman.

12 PANEL MEMBER BRADMAN: I just have a brief
13 comment. I don't know if it's worth highlighting in the
14 list, but certainly any future analyses will need to
15 reflect that sometimes different isomers may have
16 different toxicity. I mean, permethrin is an example
17 where cis and trans are different potentially. Certainly,
18 things like, you know, arsenic where we're talking about
19 different valence states. There are certainly differences
20 in toxicity there, and that's probably more obvious.

21 I don't know if it's worth footnoting there or at
22 least making sure that that's understood.

23 MS. HOOVER: Well, another option we
24 considered -- we were trying to keep it simple, and then
25 deal with something like that in a larger technical list.

1 But another possibility would be to do, you know,
2 permethrin parentheses, including cis and trans. I mean,
3 if you wanted to be explicit about certain chemicals. And
4 that's what I was saying that we did make an exception.
5 So we listed lindane in a parenthetical. So if there's
6 certain ones that you would like to see explicitly listed,
7 we're happy to do that as a parenthetical.

8 ACTING CHAIRPERSON LUDERER: It looks like there
9 are no other comments from the Panel members or -- Dr.
10 Wilson.

11 PANEL MEMBER WILSON: Yeah, Mike Wilson. Do you
12 anticipate -- I may have missed this -- that the CAS
13 numbers would be part of the public database?

14 MS. HOOVER: Yeah. Well -- okay, so I carefully
15 didn't put this down in black and white, but it's my
16 intention and desire to develop a full list with all the
17 CAS numbers and that that could be made publicly
18 available. But it's going to take time to develop that.
19 It wouldn't go on this list, this style of list. We
20 retain this as a more readable simpler kind of list but,
21 we would develop a full list of the parents and the
22 metabolites with all the CAS numbers, yeah.

23 PANEL MEMBER WILSON: Okay. Thank you.

24 ACTING CHAIRPERSON LUDERER: It looks like there
25 seems to be broad consensus among the Panel members

1 agreeing with the proposed changes to the designated and
2 priority chemicals list. Were there any other questions
3 that you wanted us to address that we haven't yet on this
4 topic?

5 MS. HOOVER: I guess I would just want to state
6 explicitly then, the Panel is fine with me changing the
7 diesel footnotes on both the designated and priority
8 lists, as well as adding the PAH footnote that I proposed?

9 MS. HOOVER: And I also want to encourage you to
10 take a look through it, if you have time. And just like
11 Asa raised, if there's any other issues you notice, any
12 other abbreviations you'd like to see -- you know, any
13 other minor issue, if you have time to look at it and
14 comment on it, please feel free to contact me with that.

15 ACTING CHAIRPERSON LUDERER: I guess I forgot to
16 ask about public comments on this topic. Were there any
17 public comments?

18 MS. DUNN: There were none.

19 ACTING CHAIRPERSON LUDERER: Okay, thank you.
20 Sorry about that.

21 (Laughter.)

22 ACTING CHAIRPERSON LUDERER: So the next item on
23 the agenda then -- actually, that was our last specific
24 item for discussion, before the summary of the SGP
25 recommendations by Dr. Lauren Zeise, who's the Chief of

1 Reproductive and Cancer Hazard Assessment of OEHHA and
2 who's going to summarize the recommendations from today.

3 Dr. Zeise.

4 PANEL MEMBER SOLOMON: Can I make one comment?

5 ACTING CHAIRPERSON LUDERER: Dr. Solomon.

6 PANEL MEMBER SOLOMON: I'm sorry. I just meant
7 to mention this, because it sort of came up during the
8 break. In reference to the public integration section, I
9 actually had asked one of the staff members in the
10 restroom if the Program has a Facebook page. And I
11 learned that it does not. And so I just would like to
12 recommend that for public outreach to create a Facebook
13 page for the Program and try to keep it active, because I
14 think that is a good way of connecting with some people.

15 DR. ZEISE: So I'll add that to my list.

16 (Laughter.)

17 PANEL MEMBER SOLOMON: Yeah.

18 DR. ZEISE: It looks like everyone agrees.

19 So the day started with -- so what I'm going to
20 do is I'll give some highlights. For some of the items,
21 there was a lot of discussion. And we took that in. So
22 I'll go through the highlights. There will be an extended
23 discussion and summary on -- posted on the Biomonitoring
24 website.

25 So we started the day with updates on the status

1 of biomonitoring studies, those that are underway and also
2 in development, and on the progress in laboratory
3 capacity. And I think all around, the Panel was
4 supportive and complimentary in acknowledging the progress
5 since the last meeting.

6 The Program -- the second point, the Program is
7 going to have an initial discussion of biomonitoring
8 reference levels at the fall meeting.

9 Triclocarban was added as a designated chemical
10 by unanimous vote. And the Program will be following up
11 to obtain more detailed toxicology and persistence and
12 other exposure information to be included in any Panel
13 discussion of triclocarban as a priority chemical.

14 The Panel made several suggestions regarding the
15 public participation plan and engaging the public. And
16 that included discussions with other people, like Dr. Kyle
17 at UC Berkeley, and the California labor movement. And we
18 also heard about modulating the intensity of the effort,
19 depending on where we are with respect to results and our
20 resources. So we'll be cautious.

21 And then regarding the update on the
22 firefighter's study, the Panel congratulated the Program
23 on the development of the study since the last meeting --
24 that's quite a bit of work done, that the Panel
25 acknowledged -- and made some suggestions regarding

1 questionnaire questions, the length of the questionnaire,
2 capturing diesel exposure in a variety of ways, including
3 GIS coding, a hope for finding a good biomarker, action
4 levels and various other suggestions.

5 There was unanimous vote to add the four parabens
6 that are already designated. So methyl, ethyl, butyl, and
7 propylparaben as priority chemicals.

8 The Panel agreed to the proposed changes to the
9 simple list. And also, we heard that the Panel found that
10 it was a good idea to have much more technical priority
11 and designated chemical list that includes CAS numbers and
12 so forth.

13 Oh, I forgot to mention that the Program, as part
14 of public participation, did agree, I think, to include a
15 Facebook page.

16 (Laughter.)

17 DR. ZEISE: And so the Panel is continuing to
18 track broadly disinfection byproducts.

19 And with respect to the formatting of the list,
20 there is flexibility. The Panel agreed with the idea that
21 we would diverge from standardization in formatting with
22 parentheticals for some of the stereoisomers as
23 appropriate.

24 So thank you. And I guess I'll turn it back over
25 to you.

1 ACTING CHAIRPERSON LUDERER: Thank you very much
2 Dr. Zeise. And now I would like to turn things over again
3 to Dr. Denton, the Director of the Office of Environmental
4 Health Hazard Assessment.

5 OEHHA DIRECTOR DENTON: As I mentioned in my
6 introduction, this is the time for the Panel to choose the
7 Chair -- choose a permanent chair. Dr. Luderer has been
8 very generous with her time to be Acting Chair for today
9 but we do need a permanent Chair.

10 So I think the easiest way to do that would be,
11 you know, to entertain nominations and then take a vote.
12 So that sounds good.

13 Do we have any nominations?

14 Dr. Solomon.

15 PANEL MEMBER SOLOMON: Yes. This is Gina
16 Solomon. I think Dr. Luderer did such a fantastic job
17 today --

18 (Laughter.)

19 PANEL MEMBER SOLOMON: -- that I would like to
20 nominate her to continue on as Chair and to become
21 permanent Chair of the Panel.

22 PANEL MEMBER CULVER: Second.

23 OEHHA DIRECTOR DENTON: Okay. Well, be careful
24 what you volunteer for.

25 (Laughter.)

1 OEHHA DIRECTOR DENTON: So let's just have just a
2 voice vote. All of those in favor aye?

3 (Ayes.)

4 OEHHA DIRECTOR DENTON: All of those opposed?
5 With the exception of Dr. Luderer.

6 (Laughter.)

7 OEHHA DIRECTOR DENTON: No.

8 It's unanimous.

9 Okay, well, Dr. Ulrike Luderer then is our
10 permanent Chair of the Science Guidance Panel. And before
11 I turnover it to you, I'd like to voice my appreciation
12 for all the work that the Panel has done today. And we
13 really appreciate the guidance that you're giving this
14 program. So I'll turn it back to you, Dr. Luderer.

15 CHAIRPERSON LUDERER: Thank you.

16 OEHHA DIRECTOR DENTON: Our permanent Chair.

17 CHAIRPERSON LUDERER: Thank you very much for the
18 vote of confidence.

19 (Laughter.)

20 CHAIRPERSON LUDERER: I hope I can live up to it.
21 Finally, I would like to adjourn the meeting and
22 let everyone know that the presentations -- yes. Is there
23 any additional item?

24 MS. HOOVER: Yeah. Right before you adjourn, I
25 just wanted to -- normally we announce when the next

1 meeting is of the meeting -- oh, you're going to do that.
2 And I just wanted to give you an update that we're still
3 actually trying to come to a date in the fall. And also
4 that there were a few presentations that varied from the
5 presentations that were posted. So we will be posting
6 that in the next few days too.

7 CHAIRPERSON LUDERER: Right. So the
8 presentations will be posted. As well as the transcript
9 of the meeting will also be posted, and a summary of the
10 Scientific Guidance Panel recommendations and then an
11 Email will go out to the listserv, letting all the members
12 of the listserv know when those things are available.

13 Then the next meeting is tentatively planned for
14 October still or are we not sure about the month yet
15 either?

16 MS. HOOVER: Everyone is really busy obviously,
17 so we have -- this incredible Panel who is very busy. We
18 have problems coordinating with people at OEHHA who are
19 busy and DPH. So we're now looking into the first week of
20 November actually. So you're going to be getting -- if
21 you haven't already received that, you'll be getting a
22 survey on your dates.

23 The other issue is that we -- you know, Dr.
24 McKone couldn't be here today. And one of the dates we'd
25 settled on, he couldn't be at that meeting either. So

1 we're to avoid, you know, having certain Panel members
2 have multiple absences, because they -- you know, everyone
3 contributes so -- is such a value contributor that we
4 don't want to lose that contribution.

5 So we're trying to get maximum participation, so
6 excuse the multiple Emails surveying dates.

7 CHAIRPERSON LUDERER: All right. So we'll have
8 the meeting in the fall.

9 (Laughter.)

10 CHAIRPERSON LUDERER: Date to be announced.

11 Oh, I'm sorry. Dr. Wilson

12 PANEL MEMBER WILSON: I just wanted to make one
13 final comment, that -- in appreciation for the technical
14 staff today, video and audio, as these things can --

15 (Applause.)

16 PANEL MEMBER WILSON: -- they can make or break
17 meetings, as we all know.

18 MR. LLOYD: Thank you so much.

19 PANEL MEMBER WILSON: And you were a really
20 professional team. We all appreciate it.

21 MR. LLOYD: Thank you. Nate, Jason and I --

22 PANEL MEMBER WILSON: And the transcription of
23 course, with -- yeah exactly. Thank you so much.

24 (Applause.)

25 MR. LLOYD: The fingers of smoke.

1 I do want to remind the Board and the public we
2 archive this meeting up onto CalSpan, our CalSpan site.
3 And we also put a KPI, or Key Point Indexing, so that you
4 can go directly to items on the agenda, so you don't have
5 to sit and watch the entire meeting download, you can go
6 specifically right to the items. And we'll also link all
7 the PowerPoints with that as well. So it will incorporate
8 that as far as your website as well. And it was a
9 pleasure doing business with you guys.

10 CHAIRPERSON LUDERER: Thank you.

11 If there are no additional items to discuss, then
12 I'd like to adjourn the meeting.

13 Thank you all for coming.

14 (Thereupon the California Environmental
15 Contaminant Biomonitoring Program, Scientific
16 Guidance Panel meeting adjourned at 4:39 p.m.)
17
18
19
20
21
22
23
24
25

